

DEPARTMENT OF HEALTH & HUMAN SERVICES

ADVISORY COMMITTEE ON BLOOD SAFETY AND AVAILABILITY

TWENTY-THIRD MEETING

VOLUME II

Thursday, April 8, 2004

9:00 a.m.

Grand Hyatt Washington
1000 H Street, N.W.
Washington, D.C. 20001

PARTICIPANTS

VOTING MEMBERS

Mark Brecher, M.D., Chairman
Judy Angelbeck, Ph.D.
Edward D. Gompert, M.D.
Paul Haas, Ph.D.
Christopher Healey, J.D.
Jeanne Linden, M.D.
Lola Lopes, Ph.D.
John Penner, M.D.
Merlyn Sayers, M.D., Ph.D.
Mark Skinner, J.D.
John Walsh
Wing-Yen Wong, M.D.
Karen Shoos Lipton

NON-VOTING MEMBERS

Dr. Karen Midthun
James S. Bowman, III, M.D.
Matthew Kuehnert, M.D.
LTC Ruth Sylvester

C O N T E N T S

AGENDA ITEM	PAGE
Approved Methods for Reduction of Bacterial Contamination Risk	
Pall - Dr. Robert Dickstein	6
Terumo - Mr. Jeffery Mirapol	27
Baxter - Dr. Steven Binion	41
American Red Cross - Mr. Allan Ross	53
America's Blood Centers B. Michael Fitzpatrick, Ph.D.	83
American Association of Blood Banks Kathleen Sazama, M.D., J.D.	101
The Dutch Experience with Reduction of Bacterial Contamination of Platelet Products Dr. Dirk de Korte	130
Public Health relevance of Platelet Bacterial Screening Arjun Srinivsan, M.D.	170
Public Comment	
New York Blood Center Richard Davey, M.D.	196
Next Steps Beyond Bacterial Testing of Platelets	
Extension of Platelet Dating and Pre-Storage Pooling of Whole Blood-Derived Roger Dodd, Ph.D.	212
Future Platelet Research Scott Murphy, M.D.	227
Public Comment Chiron - Deborah Dodge	241
Committee Discussion/Recommendations	244

1 P R O C E E D I N G S

2 MR. SKINNER: Good morning. Welcome back.

3 This morning we are going to begin our
4 discussions hearing about the approved methods for
5 whole blood sample diversion.

6 Oh, I am sorry, we need to take roll call
7 again. Dr. Holmberg.

8 DR. HOLMBERG: Quick roll call.

9 Mark Brecher.

10 DR. BRECHER: Present.

11 DR. HOLMBERG: Larry Allen.

12 [No response.]

13 DR. HOLMBERG: Judy Angelbeck.

14 DR. ANGELBECK: Present.

15 DR. HOLMBERG: Celso Bianco. Absent.

16 Ed Gompert.

17 DR. GOMPERT: Present.

18 DR. HOLMBERG: Paul Haas.

19 DR. HAAS: Here.

20 DR. HOLMBERG: Christopher Healey.

21 MR. HEALEY: Here.

22 DR. HOLMBERG: Andrew Heaton.

1 [No response.]
2 DR. HOLMBERG: Jeanne Linden.
3 DR. LINDEN: Here.
4 DR. HOLMBERG: Lola Lopes.
5 DR. LOPES: Here.
6 DR. HOLMBERG: Gargi Pahuja.
7 [No response.]
8 DR. HOLMBERG: John Penner.
9 DR. PENNER: Here.
10 DR. HOLMBERG: Jerry Sandler.
11 [No response.]
12 DR. HOLMBERG: Merlyn Sayers.
13 DR. SAYERS: Here.
14 DR. HOLMBERG: Mark Skinner.
15 MR. SKINNER: Here.
16 DR. HOLMBERG: John Walsh.
17 MR. WALSH: Here.
18 DR. HOLMBERG: Wing-Yen-Wong.
19 DR. WONG: Here.
20 DR. HOLMBERG: Karen Lipton.
21 MS. LIPTON: Present.
22 DR. HOLMBERG: Jay Epstein is not present,

1 but we do have Dr. Midthun present.

2 Dr. Klein.

3 [No response.]

4 DR. HOLMBERG: Jim Bowman.

5 DR. BOWMAN: Here.

6 DR. HOLMBERG: Matt Kuehnert.

7 DR. KUEHNERT: Here.

8 DR. HOLMBERG: Ruth Sylvester.

9 LTC SYLVESTER: Here.

10 DR. HOLMBERG: Thank you.

11 MR. SKINNER: Our first presentation this
12 morning will be Dr. Rob Dickstein, who is going to
13 present for Pall on approved methods for whole
14 blood sample diversion.

15 Approved Methods for Reduction of
16 Bacterial Contamination Risk
17 Pall - Dr. Robert Dickstein

18 DR. DICKSTEIN: Thank you, Jerry. Good
19 morning.

20 What I would like to do for you this
21 morning is give you a little bit of insight and
22 background into the area of sample diversion pouch,

1 which in many respects, for those who were here
2 yesterday, is a corollary to Pall's bacterial
3 detection system, which is part of our bacterial
4 risk management system.

5 Over the past several years, there have
6 been a good deal of information and literature
7 gathered to address the challenge of residual skin
8 flora. If you look at the literature, you will see
9 that even the most stringent skin disinfection
10 techniques may not be able to ensure sterile
11 venipuncture site because of a number of factors.
12 Those include subcutaneous hair follicles,
13 sebaceous glands, and skin dimpling, et cetera.

14 Therefore, there have been a number of
15 studies particularly in France which have addressed
16 this issue by looking at the potential for taking a
17 small amount of the first blood component and
18 removing it before you would put collection into
19 the bag, that is, if you would remove anywhere from
20 10 to 15 ml of blood, it has been shown that there
21 is a significant amount of bacterial contamination.

22 The best way to address this is by doing

1 just that, by removing these 10 to 20 ml of blood
2 during donation. A study that was done two years
3 ago demonstrated that you could literally remove up
4 to 72 percent of the contamination by doing so.

5 For the most part, bacteria is in the form
6 of Staph epi and a number of bacilli, to the extent
7 that if you could accomplish this, you would
8 literally remove or potentially remove up to 4
9 percent of the incidences that are now occurring.

10 What Pall has done is to address it in
11 this fashion. If you look at Jesse Bates's
12 schematic, what we have here is typical phlebotomy
13 set whereby you are removing by passing blood into
14 what we call a sample diversion pouch, which
15 contains anywhere up to 42 ml of blood.

16 Usually, typically, what is done is 10 to
17 15 ml of blood are removed, clamped off, and the
18 rest of the collection is passed through this tube
19 into the collection set.

20 What is important to note--and I am going
21 to get back to this, because I am going to try to
22 give you a little insight into the problems that we

1 have addressed--is this particular area here of the
2 sample diversion pouch.

3 It is interesting to note that what we do
4 is somewhat a little bit different than other
5 manufacturers in that we have here what we call a
6 snap open closure, which remains closed until the
7 blood is passed into the sample diversion pouch.

8 Typically, what you find is that you
9 prepare the set, you start the donation in typical
10 fashion. Blood automatically will pass into the
11 sample diversion pouch, you collect anywhere from
12 the 10 to 15 ml that you so desire, clamp off this
13 region and allow the blood to then pass into the
14 collection set.

15 What is important to note--and we will get
16 back to this--is the picture that you see on the
17 left, and there is where we have noticed several
18 incidences that have occurred over the last several
19 months, which I will get to a minute.

20 As you can see, what you do is you put the
21 vacuum tube holder in place, then, you put the
22 typical vacuum tubes in place, and you draw blood

1 typically within the first three to four minutes,
2 the reason being you have no anticoagulant in that
3 sample diversion pouch.

4 What is interesting to note is--and we
5 will get back to this also in several minutes--you
6 typically collect anywhere from four to seven tubes
7 to run your infectious disease test, as well as
8 your ABO-Rh testing.

9 Just to give you a little background, Pall
10 has been using this type of sample diversion pouch
11 for approximately 16 months. We first introduced
12 it in North America in December of 2002, and to
13 date in Canada. When I say North America
14 specifically, we introduced it in 2002 into Canada,
15 we have implemented and used somewhere in the range
16 of 1.2 million over the past 16 months.

17 Pall received approval in the U.S. about
18 8, 10 months ago. We put it on the market in
19 August of 2003. Our experience, because of our
20 Canadian experience, demonstrated that this was
21 typically very user-friendly. The ergonomics
22 allowed us and allowed the user to collect this

1 amount of blood in the sample pouch and complete
2 the rest of the collection in a timely fashion.

3 When we did introduce it, did what we
4 normally do at Pall, we go through field trials at
5 several sites to convince ourselves from a quality
6 perspective that it meets our standards before we
7 went into typical commercialization.

8 What we did notice when we did implement
9 this, I will say somewhere in the range of about 6
10 months ago to about 20 centers, we started to get
11 some feedback this past February from one or two
12 centers that they were observing hemolysis.
13 Hemolysis is, depending on how you want to define
14 that, it would just cause hemolysis whether it be
15 20 mg or 400 mg per deciliter.

16 This certainly got our attention simply
17 because it had been our experience in Canada not to
18 have seen this at all in those 1.1 or 1.2 million
19 uses of the product over the last 16 months, so
20 what Pall typically does when they do see an issue
21 out in the field, we send our product application
22 specialists out there to assure ourselves and

1 assure the customers that the product is being used
2 right.

3 In this case, one user was demonstrating
4 significant levels of hemolysis. We went in there
5 as we typically do. We convinced ourselves and
6 convinced the user that yes, the product was using
7 as instructions for use indicated.

8 That stymied us a little bit considering,
9 due to our experience in Canada, we had never seen
10 the problem of hemolysis. What we did from that
11 perspective is we brought back samples, we took
12 some of our retains in-house, and we completed that
13 type of testing that was done out in the field
14 in-house.

15 Again, in our hands, it demonstrated no
16 degree of hemolysis, which stymied us a little bit
17 simply because of what the customer had reported
18 and what had been observed out there.

19 As a third step in this whole process, we
20 decided to go back to the center and look at it
21 from a different perspective, that is, rather than
22 just seeing if the product was used correctly, we

1 brought our engineers out into the field to look at
2 it from a mechanical point of view to see if
3 anything was missed in our first addressing of this
4 issue.

5 Again, we didn't see anything, and what we
6 have learned from experience, as all manufacturers
7 do, unless you do things exactly in-house as what
8 you see out in the field, there are times you won't
9 be able to reproduce the results.

10 So, what we did this time is we brought
11 literally everything back that the user was
12 experiencing. This goes down to the Vacutainer
13 tubes and the different types of Vacutainer tubes
14 out there. We had tried to do that in-house
15 initially, but due to the variability of tubes, we
16 weren't using exactly what was used at the specific
17 center.

18 What we did is we brought back everything,
19 we completed, we redid all the work, and, yes, lo
20 and behold, we were able to demonstrate a degree of
21 hemolysis. The story in this is that unless you do
22 things exactly the same, and I mean exactly the

1 same as what your customers experience, you can
2 miss something.

3 In this respect, to get to the heart of
4 the matter, what we observed in bringing back these
5 Vacutainer tubes, I guess I could say this in the
6 true biblical sense from a laboratory perspective,
7 all Vacutainer tubes are not created equally.

8 What we did find is depending on the
9 Vacutainer tubes you found, they worked slightly
10 differently. That doesn't mean they are not good,
11 it means that Vacutainer tubes that have 7 ml,
12 Vacutainer tubes that have 10 ml, can work slightly
13 differently from the perspective of drawing vacuum
14 into the tubes.

15 Age of tubes makes a difference, we
16 certainly found out, variables like that, which
17 were not originally considered. When we put out
18 this product in the market, we obviously tested
19 with a number of Vacutainer tubes, but not every
20 one out in the market.

21 What this led us to do is try to
22 determine, well, if we were seeing differences, and

1 hemolysis was being caused by several different
2 tubes, what could we as the company do about it.

3 Obviously, the simple response would be
4 limit the types of tubes that could be used. We
5 didn't consider that to be user-friendly, and not
6 the way we wanted to go as a company.

7 What we realized during this investigation
8 is because of the differences being pulled by these
9 tubes, if the blood was passing from the sample
10 diversion pouch through a little cannula into the
11 Vacutainer tubes, if it was passing into this
12 cannula at too rapid a rate, this, in fact, could
13 cause this degree of hemolysis that we were seeing.

14 We had not considered that again during
15 our developmental design because of the number of
16 tubes we looked at, we did not see this problem, so
17 what we decided to do was, in quick fashion,
18 prototypically, look at different designs in very
19 modest means in changes to allow for this blood
20 from the sample diversion pouch to pass into this
21 cannula at a slightly reduced rate.

22 Literally, what we were doing was trying

1 to create more resistance going into this cannula.
2 If you imagine a reservoir being the sample
3 diversion pouch, it is just sitting there,
4 Vacutainer tube pulls into the cannula, into the
5 Vacutainer tubes. If it pulls at a slightly higher
6 rate, there is a chance for hemolysis to take
7 place.

8 In fact, when we did our studies and
9 demonstrated that if you could add resistance, you
10 could certainly cut down and remove and eliminate
11 this degree of hemolysis, so essentially, in about
12 a 10-day period of time, we were able to determine
13 what the cause was, number one, what we could do to
14 fix the problem, and what we could do long range to
15 put the product back, to enhance the product on the
16 market.

17 During the interim, we were working with
18 FDA and Compliance, as well as Office of Blood, who
19 I must commend, were very good in working with us
20 and understanding the problem, and agreeing with
21 our approach while keeping the product out on the
22 market in all the centers except one who chose to

1 transition to something else in the interim, to
2 work with us, allow the product to remain on the
3 market while we came up with what we considered to
4 be a small fix in the whole perspective of things.

5 That is essentially what we have done. We
6 have taken literally our sample diversion pouch and
7 added a small little piece of tubing downstream of
8 it before it enters into the cannula to increase
9 resistance in order to eliminate any of the
10 problems we have seen in any of the tubes no matter
11 whether using 7 ml, 10 ml, 3 ml, plastic, glass, et
12 cetera.

13 You know hindsight is always 20/20, and it
14 is a good lesson for all of us from the perspective
15 of Pall that you can't always concern yourself with
16 your product, you have to look at the ancillary
17 products, in this case, a vacuum tube container, a
18 tube, which could cause a problem for you.

19 When we went back to a number of
20 customers, we also brought this to their attention.
21 In the interim, what we have worked out with the
22 agency, as some of you may know, we put an alert

1 out to the field to caution people as to the
2 potential for hemolysis at a small rate that could
3 ensue, therefore, be careful in the instructions
4 for use for all product, be careful for use in the
5 instructions for Vacutainer tubes, to alert them to
6 the fact that there is potential for hemolysis.

7 Will, in most instances, the hemolysis
8 upset the ability to effectively perform testing?
9 Most likely not. I have seen very few instances
10 since this has arisen four or five weeks ago to
11 demonstrate that it is affecting doing your testing
12 for infectious disease or ABO-Rh, but it is of
13 consideration for us, as a company, to assure that
14 the product we are putting out there meets all the
15 goals which our users literally set for us.

16 Essentially, as I have stated, this is
17 just a scenario of events leading up to where we
18 are including on the bottom the root cause
19 identified, as I said, the differences in tubes
20 from vacuum pressure to size of tubes, to multiple
21 vendors, and it is just a cautionary note for Pall,
22 as a company, as well as everybody else, to make

1 sure they consider these in the future in any
2 subsequent products we may put out.

3 As I said, we are looking to transition
4 from the product now on the market in approximately
5 90 days. This is a relatively small enhancement
6 for us to make. As a matter of fact, we are
7 completing our in-house trials. We will be going
8 to out-of-house trials very shortly in cooperation
9 with the Office of Blood, who has given us guidance
10 on what they would like to see before we put the
11 product out on the market again.

12 As part of the whole program Paul has
13 initiated with customers, as I stated, we have a
14 letter out there which cautions users as to how
15 best to use the product. We followed up with
16 weekly telephone calls and/or our Technical
17 Services group with the customers who are now using
18 the product to assure that there are no problems
19 that may ensue in the future.

20 We also have as part of our vigilance
21 program, the customers sending in any information
22 that they may have on hemolysis to assure that we

1 address it in a timely fashion.

2 In closing, I think the lesson to take
3 home certainly from Pall is that you can never be
4 sure no matter how many products you put out on the
5 market, no matter how many times it is being used,
6 that you have covered every variability.

7 As I stated, our Canadian perspective
8 indicated to us that there were absolutely no
9 problems with 1.2 million users, but as we saw in
10 the U.S., there were problems that needed to be
11 addressed, and I would say within a period of two
12 weeks, those problems were addressed, a fix put in
13 place, and ongoing from there, we are somewhere
14 into day 20 or day 25 of our 90-day target to put
15 the product out on the market.

16 As I said previously, we consider the
17 sample diversion pouch as part of our overall
18 program for bacterial risk management, which
19 includes what you heard yesterday on our bacterial
20 detection system, as well as our Leukotrap system
21 for the collection and leukoreduction and storage
22 of blood products.

1 Any questions that I can answer for you?

2 MR. SKINNER: Questions from the

3 committee? DR. PENNER: Cost? The cost
4 of the bag?

5 DR. DICKSTEIN: The cost of the bag. I
6 will turn to our Product Portfolio Manager.

7 MS. KLUGEWICZ: [Inaudible. Not at
8 microphone.]

9 DR. DICKSTEIN: Obviously, there is no
10 additional costs that we are adding to this change.
11 This is just a transition in the U.S. By the way,
12 since the product works in Canada, we have no
13 intention of changing it at this point.

14 DR. PENNER: You said \$17 to \$22 range?

15 MR. SKINNER: Could you come up to the
16 mike, please. It needs to be recorded in the
17 transcript. Also, I need you to identify yourself
18 for the record also.

19 MS. KLUGEWICZ: I am Sharon Klugewicz. I
20 am a vice president of Global Product Portfolio
21 Management for red cells.

22 The pricing ranges anywhere between \$17 to

1 \$22 depending upon which systems are being used,
2 whether it is a system for leukoreduction of red
3 cells or a system for leukoreduction of random
4 donor platelets.

5 With the sample diversion pouch system,
6 there is an incremental cost compared to the Y
7 sampling system.

8 DR. PENNER: What is that increment?

9 MS. KLUGEWICZ: It can range anywhere from
10 \$1.00 to \$1.50.

11 DR. PENNER: So, that is about \$1.00 to
12 \$1.50 above the cost of the bags that are routinely
13 being used.

14 MS. KLUGEWICZ: Yes, that is correct.

15 MR. SKINNER: Other questions? Yes, Dr.
16 Lopes.

17 DR. LOPES: I think you said that about 72
18 percent of the contaminants that might be there are
19 flushed out in the collection of the sample.

20 Do you have any sense of how large an
21 amount of blood would need to go into a diversion
22 pouch in order to reduce the remaining contaminants

1 to, say, 1 percent?

2 DR. DICKSTEIN: I can tell you that the
3 best study that I have seen is by Bruneau several
4 years ago, which demonstrated--and I will try to
5 get the answer to that--within the first 10 to 15,
6 I think it was 13.5 exactly demonstrated in 72
7 percent, they did not see a significant reduction
8 in the second 13.5 or 15 ml beyond the 72 percent
9 of any significant value. It's the best I can do
10 in answering that particular question.

11 Any other questions?

12 MR. SKINNER: Dr. Holmberg.

13 DR. HOLMBERG: Yes, Rob, I want to thank
14 you for your candidness and openness on some of the
15 issues in introducing this to the marketplace. I
16 think that helps give us a frame of reference, and
17 what I was wondering, is there any difference
18 between the product that was introduced in the
19 United States and that, that was introduced in
20 Canada?

21 DR. DICKSTEIN: That is a good question
22 and, if you recall, I asked when I showed you the

1 schematic to focus on that sample diversion pouch.
2 The answer to your question is no, that portion of
3 the system, which is really a separate entity,
4 there is no difference, it is exactly the same.

5 That is why it came as a surprise to us
6 when we started to see these initial hemolysis
7 reports coming in after, as I said, 1.2 million out
8 there being used over 16 months, it is exactly the
9 same.

10 But the lesson again learned when we went
11 back and we did further checking, we did realize
12 that in the perspective of what Canada does, their
13 tubes, they were using different tubes than what is
14 typically used in the States.

15 They had tried several along the way,
16 found one that worked best for them, and as it
17 turns out, that is one set of tubes that does not
18 cause the problem. Their pull on the vacuum is
19 less than other tubes.

20 DR. HOLMBERG: The other question was you
21 addressed the issue of hemolysis and the infectious
22 disease testing, that, to your knowledge, it was

1 not a problem.

2 Do you know whether this created any
3 problem in the availability of whole blood, were
4 there centers that were resistant to releasing
5 those products?

6 DR. DICKSTEIN: Well, let me qualify that.
7 When I said there was in my mind or in my
8 experience no problems, the one user who did have a
9 problem felt that it would interfere with some of
10 their testing.

11 DR. HOLMBERG: But how did this affect
12 blood products that were already collected in your
13 bags?

14 DR. DICKSTEIN: It did not.

15 MR. SKINNER: Other questions? Dr.
16 Gompert.

17 DR. GOMPERT: Yes. I am not quite clear
18 on the data from the point of view of the reduction
19 of contamination. You say there is an approximate
20 70-odd percent reduction in the amount of bacteria
21 in the blood sample or the number of ultimately
22 contaminated units?

1 DR. DICKSTEIN: In the blood contaminate,
2 in that first 10 to 15 ml.

3 DR. GOMPERT: Is there any data from the
4 actual numbers of units of blood, platelets,
5 whatever, that were not contaminated? You know,
6 the actual end product.

7 DR. DICKSTEIN: Not to my knowledge.
8 Again, these studies were focused on just
9 determining what would be the incidence of the
10 contamination due to skin flora.

11 DR. GOMPERT: Thank you.

12 MR. SKINNER: Dr. Brecher has some
13 information for us.

14 DR. BRECHER: I just want to say something
15 factual. One shouldn't be diverted too much by
16 diversion. This does stop gram-positive organisms
17 from the skin, but the majority of deaths,
18 two-thirds are gram-negatives, and it is not
19 anticipated that this will affect those cases.

20 MR. SKINNER: Thank you. Thank you for
21 your presentation.

22 DR. DICKSTEIN: Thank you.

1 At this point, Dr. Jeffery Mirapol will
2 present for Terumo.

3 Terumo - Dr. Jeffery Mirapol

4 DR. MIRAPOL: Thank you for the invitation
5 to speak to this group. It is going to take us a
6 couple minutes to get this slide show on the road,
7 so just bear with me.

8 I am going to give you an overview of
9 Terumo's development history for our sample first
10 system. I will also give you information on how we
11 actually brought this system to market, the nature
12 of our studies, our strategy and process in doing
13 field implementation, as well as, and I think some
14 folks are aware of this, we are going to show you a
15 video of the use of the system.

16 Once again, I am going to discuss our
17 experience with our system. I am going to give you
18 a little bit of background on development, an
19 overview of the system, how the system is operated,
20 how it works in people's hands, and how it was
21 actually used by the customers and how we got the
22 product in the hands of the customers.

1 The background to the development of our
2 system really was focused on some early work that
3 we started to do about 1999 on a way to take the
4 samples prior to whole blood collection to ensure
5 that blood samples were always available for
6 testing.

7 One of the issues actually in blood
8 collection is that a fair number of donors
9 oftentimes don't give you a full unit, and you lose
10 therefore the samples for ABO testing and HIV, et
11 cetera, testing.

12 As we were doing this work, we recognized
13 that a method that keeps the initial portion of
14 blood that goes from the donor to the final whole
15 blood unit would also reduce the chance of having
16 bacteria go to that unit.

17 We worked with folks over at the Holland
18 Labs, Steve Wagner and his group, Dr. Friedman,
19 Robinette, and developed a model system whereby we
20 challenged bacteria on an injection site, and then
21 either using saline or whole blood, passed either
22 the saline or whole blood through the site, and

1 then took out aliquots of blood subsequent to that
2 contamination.

3 Those studies demonstrated that you could
4 reduce that initial load of bacteria by about 1 log
5 using this system, and this is an example, a
6 drawing of the system that was used at that time.

7 Again, here is the bag that would hold the
8 whole blood or saline. We spike the bacteria here,
9 and again using this as sort of like making a
10 phlebotomy, and then take off aliquots of either
11 saline first and then blood into this little pouch,
12 and then measured bacteria.

13 We did aliquots in increments of about 14
14 to 15 ml and did aliquots out to about 5 to 7
15 aliquots.

16 Subsequent to that work, the FDA and also
17 Dr. Nemo's group had some meetings regarding what
18 one would like to have for a design for these sorts
19 of systems to take the initial bolus of blood from
20 the donor.

21 What they wanted was a closed system.
22 They wanted a system where the blood was diverted

1 from the final product by one-way flow, that they
2 wanted enough blood for testing, and they also
3 would hopefully reduce bacteria contamination.

4 The features that we developed and that we
5 really put together to incorporate the FDA criteria
6 included the use of what we call a CLIKTIP, which
7 is again a big break-away cannula below the Y on
8 the primary collection bag, and this keeps the
9 blood from going to the collection bag, and ensures
10 that you have a one-way flow from the phlebotomy to
11 the pouch.

12 Also, it ensures that you never have any
13 anticoagulant going from the bag back to the pouch,
14 so your samples are always free of anticoagulant.

15 Also, the goal was to have a system that
16 had a small pouch with short tubing segments again
17 closely attached to the donor tubing. The pouch
18 was designed to aid the user in visualizing the
19 amount of blood that is actually filled in the
20 pouch.

21 The pouch volume in our system is up to
22 about 50 ml. Subsequent developments led to

1 notches at about a 35-ml volume point. Also, the
2 pouch allows an adequate volume of blood to be
3 diverted, so that you can get all your samples for
4 testing and that you can also reduce again by about
5 1 log the bacteria associated with the skin during
6 that collection process.

7 Also, we have an HR clamp, in other words,
8 a Roberts clamp and a little twist-off lure
9 connector below the pouch to allow you then to put
10 on a lure adapter and holder, and then subsequent
11 to filling the pouch, the tubing above the pouch is
12 sealed, and this again was an FDA requirement to
13 have a seal which was considered closed and
14 permanent between the pouch and the actual donor
15 line.

16 Subsequent to that seal, the line is
17 opened going to the bag by breaking this large
18 CLIKTIP, and then after adding the lure adapter and
19 holder, you can get the samples.

20 This is a diagram or schematic. These are
21 lure adapter and holder. This is the pouch. This
22 is your phlebotomy needle, and this, of course, is

1 the big CLIKTIP going to the whole blood unit. You
2 attach all these, and this is the Roberts clamp and
3 line, and the female lure port at this point.

4 When you are using this, you are filling
5 the diversion pouch up to this notch typically,
6 although if you are a blood center that needs more
7 than about 35 ml, if you fill the whole bag, you
8 are going to get about 50 ml, and you have made
9 this permanent seal with a clip or a sealer, break
10 the CLIKTIP, allow the blood to go to the unit, and
11 collect the samples in the tubes.

12 So, our system we believe has certain
13 advantages, the samples are taken prior to the
14 blood going to the primary bag. You always get
15 your samples, which was the original idea of the
16 system. Also, it aids in letting the individual
17 doing the collection see how that collection is
18 going, so you can see the blood flow aids in kind
19 of ensuring you have a good blood flow, and again,
20 as I indicated, it does capture that initial bolus
21 of blood and may, and we believe does, help in
22 reducing the chance of getting those kinds of

1 bacteria going to the unit.

2 We did two field studies. We did an
3 initial trial prior to approval in April and May of
4 2002. We did a second field trial in October 2002.
5 These are the number of individuals involved, and
6 we did it at three blood centers, and the same
7 groups were used both times.

8 The first field trial, 31 individuals,
9 everybody rated it at least acceptable. The second
10 field trial, about 30 individuals, everybody rated
11 it at least acceptable. Most actually rated it
12 above average or superior.

13 Subsequent, though, to the field trial, we
14 made some changes to the system where we added the
15 mark in the pouch, which allowed the user to see
16 where the fill line was for about 35 ml. Also, we
17 improved the pouch, so that the pouch sheet size
18 didn't stick as much, so the pouch filled faster,
19 and we revised the IFU to make it easier for the
20 user.

21 Then, we implemented the system. Our
22 first sales were in October of 2003, and the

1 initial implementation was very carefully watched.
2 We actually did videos, a lot of training, and
3 gathered a lot of data.

4 The first studies were done at five
5 centers, about 2,900 collections, 82 phlebotomists,
6 and everybody rated it acceptable except for a
7 small group felt that it needed improvement, and
8 this had to do with the break-away connector at the
9 very end of the line where you attach the lure
10 adapter. Some folks found it a little hard to
11 break.

12 After the system was implemented, we did
13 follow-up at two blood centers, about 3,400
14 collections, about 63 folks, and again we had very
15 good response, again, a few folks still said needs
16 improvement. It had more to do with the handling
17 of taking the sample.

18 This is what the system looks like in use.
19 This is, of course, the collection system, this is
20 the big CLIKTIP, filling the pouch with blood to
21 this--you can't see the notches very well here.
22 This is the break-away connector, female connector,

1 that actually, we are making further changes on to
2 allow that to be more easily used.

3 Right now these are users in the U.S. We
4 have sold in the neighborhood of about 250,000,
5 300,000 blood bags with this diversion system, and
6 hopefully, this works.

7 This shows you the use of the system. The
8 phlebotomist makes the phlebotomy. You can see the
9 blood is going to the pouch, fills very quickly.
10 You can see the female lure down here on the
11 Roberts clamp. That is kept closed. The pouch is
12 completed, putting on a grommet, sealing the
13 grommet.

14 It is hard to see where she is breaking
15 the big CLIKTIP, but you will see blood going to
16 the line, which is off here, it goes. This is the
17 line going to the blood bag. You can see the
18 notches here. This is filled to probably about 40
19 ml.

20 Now she is adding the lure adapter and
21 holder, so she just broke that female lure out of
22 the lure adapter and holder. If I recall

1 correctly, this is the group that uses 5 tubes,
2 puts them on the holder, opens the Roberts clamp.
3 Of course, remember that this lure adapter has a
4 valve, so even if you take tubes out, you are not
5 going to get any blood coming out of that system.

6 You can see how quickly the tubes fill.
7 This is real life use at a real live blood center.

8 LTC SYLVESTER: Is this in Canada?

9 DR. MIRAPOL: No, no, there is Terumo. We
10 don't have any business in Canada. This is the
11 U.S.

12 As I say, we have sold about 250,000,
13 300,000 units in the U.S. since October 2003. You
14 can see she is collecting--I think this may be the
15 last tube--she closes that Roberts clamp, which
16 really isn't necessary.

17 This is the end of the video, but
18 obviously, she has completed the whole process in
19 under two minutes. That is the process.

20 I would like to thank the members of my
21 staff and, of course, the folks at the Red Cross,
22 as well as our customers and our field staff, who

1 have implemented this process.

2 Any questions?

3 MR. SKINNER: Thank you.

4 Are there questions?

5 [No response.]

6 MR. SKINNER: The video answered all the
7 questions.

8 DR. MIRAPOL: Is that it? I am
9 disappointed.

10 MR. SKINNER: Dr. Kuehnert.

11 DR. KUEHNERT: I won't disappoint you.

12 I have a question that just occurred to
13 me. Have there been any studies done on possible
14 interference between the existence of gross
15 contamination by skin flora in any of the other
16 testing for viral pathogens?

17 DR. MIRAPOL: I am not exactly sure what
18 you are asking, but during the field studies, the
19 centers we work with did do infectious disease
20 testing of samples taken, and there were no
21 problems. We also measured flow rates and
22 hemolysis, et cetera, and saw no problems

1 Steve Wagner, who had his hand up last time around.

2 Do you have data on really the question
3 that was asked the last time on the effect of
4 diversion on actual frequency of contamination of
5 the units themselves?

6 MR. WAGNER: Hi. Steve Wagner from the
7 Red Cross.

8 There is about two or three different
9 papers in the literature. To answer your question,
10 it was probably best done by a study by DeKirk
11 [ph], which looked at sample diversion, and found a
12 significant reduction of Staph epidermidis, but not
13 other organisms in a field trial.

14 I think the extent of reduction, as I
15 recall, was about a factor of 5 or so reduction in
16 the percentage of units that had Staph epidermidis
17 contamination.

18 I agree with Dr. Brecher in the assessment
19 that the skin organisms often are not involved in
20 fatalities. They are involved, though, in septic
21 reactions. It is my own thought that this method
22 complements well some of the weaknesses in the

1 current culturing methodologies where people take
2 samples at one day, because that methodology is
3 likely to miss a significant fraction of
4 slow-growing organisms, many of which are Staph
5 epidermidis.

6 Some studies early by Dr. Blackman in the
7 Canadian Red Cross showed that about 50 percent of
8 the units that were actually contaminated were not
9 picked up on day 1, and the ones that were not
10 picked up on day 1 typically had Staph organisms.

11 I agree they don't cause fatalities as
12 often as some of the other organisms, but they do
13 cause fevers and complications for patients. Since
14 sample diversion is not a costly maneuver and it
15 can prevent people from having fevers and rigors
16 and such, I think it is a good complement with
17 culture.

18 Thank you.

19 DR. MIRAPOL: If I could add one more
20 point, again, as I indicated, the original intent
21 of the system that we were developing was really to
22 help collect the samples first to ensure that you

1 got your samples in.

2 We have anecdotal evidence, we don't have
3 good numbers on this, but it is helping reduce the
4 number of re-sticks at the blood centers.

5 MR. SKINNER: Thank you.

6 Other comments or questions?

7 [No response.]

8 MR. SKINNER: Our third speaker in this
9 session will be from Baxter. Dr. Steve Binion will
10 present for Baxter.

11 Baxter - Dr. Steven Bunion

12 DR. BINION: Good morning. Thank you, Dr.
13 Holmberg, for the invitation to speak here this
14 morning. I am not going to discuss or debate the
15 relative merits of the diversion of initial blood
16 collection. I think that topic has already been
17 addressed.

18 Also, in similar fashion, you have seen
19 certainly through Jeff's video a demonstration of
20 how the system works. I am going to focus on
21 Baxter's product as approved here in the U.S. and
22 just discuss some of the issues that were

1 encountered with the recent introduction of our
2 sample first technology.

3 One quick look at the relevant portion of
4 the blood pack unit that we are discussing. As you
5 see, the venipuncture needle here, the use of the
6 sample for a system which was approved in the U.S.
7 in January 2003 really went into limited
8 distribution Q3, actually Q4, 2003, and is
9 currently approved, but not on the market in the
10 U.S., and we will discuss that in a moment.

11 Basically, immediately prior to
12 venipuncture, the phlebotomist, to use the system
13 properly, closes the white Roberts clamp. The blue
14 clamp on the segment of the tubing leading to the
15 sample first pouch is open, and at that point again
16 immediately prior to phlebotomy, the operator
17 should open the break-away cannula, which is just
18 immediately before the sample first pouch, so the
19 initial volume of blood flows into the pouch.

20 It is prevented from going to the primary
21 container, and likewise, anticoagulant is prevented
22 from entering the sample first pouch prior to

1 phlebotomy by the appropriate sequencing of the
2 clamping and the cannula breakage here.

3 Once the sample first pouch is filled,
4 this clamp is closed, the white Roberts clamp is
5 open, so that the blood draw can continue into the
6 primary container. This portion of the tubing is
7 sealed off and the pouch is then available for
8 sample collection.

9 As I indicated, this technology or this
10 system was approved in the U.S., January 2003.
11 This sample first pouch subassembly has been in use
12 on millions of Baxter BPUs since 1999 in Europe and
13 used very successfully there.

14 However, I do recall January 30th quite
15 well, 4:00 p.m. that afternoon, Chicago time, I
16 received a phone call from the director of CBER's
17 Office of Compliance and Biologics Quality
18 inquiring as to reports or a report from a single
19 center involving possible dilution of infectious
20 disease testing samples, discussed this situation
21 with CBER, looked into it, and later that evening,
22 the entire inventory of the sample first products

1 under Baxter control were placed on voluntary
2 corporate hold.

3 The events that played out over the next
4 week or so were working with customers and FDA to
5 provide basically a transition for customers, there
6 were 14 customers who up to that point had received
7 the sample first system, not all of whom were using
8 it at the time, but nonetheless, all 14 customers
9 who had received the product were contacted.

10 There was an important customer safety
11 letter that was sent out to them based on
12 significant advice and interaction between Baxter
13 and CBER, and basically requiring--I know the slide
14 says "requesting," but requiring inspection of
15 current and retained infectious disease testing
16 samples by all customers who had used the product
17 and still had samples on hand.

18 Also, we sent technical teams into each of
19 the customer sites to provide additional training
20 and also as a means to simply get a hands-on look
21 at what was going on in those centers.

22 The week of February 8th, additional

1 letter again after consultation with CBER was sent
2 out to customers and also at that point, there were
3 communications between Baxter and ABC, BCA members,
4 I believe also direct contact with AABB at that
5 point.

6 Really, the activities in the week
7 following the report of the diluted sample were
8 simply focused on quickly and safely transitioning
9 the sample first customers to other blood pack
10 units.

11 I think the focus for Baxter, in
12 collaboration and consultation with CBER, was to
13 effect this transition as quickly as possible, but
14 without interrupting the whole blood collection
15 activities of the customers involved.

16 As I said, the sample first inventory had
17 already been put on hold. The follow-on decision
18 was to halt the production of that design that I
19 showed you earlier pending the redesign.

20 There were also discussions with AABB
21 Standards Committee representatives regarding the
22 potential strain on customer compliance with the

1 5.1-5.1 standard during this transition period, and
2 naturally, daily communication with customers, as
3 well as CBER.

4 The initial report of this situation
5 triggered extensive investigation within Baxter.
6 Some aspects of that investigation are still
7 ongoing. But the ultimate conclusion was that,
8 number one, sample dilution could with that product
9 design occur if the sample pouch cannula was
10 inadvertently incorrectly, improperly broken,
11 and/or if the clamping sequence was compromised
12 during use or handling of the blood pack unit.

13 We found no evidence of any manufacturing
14 defect or a product failure mode other than
15 inadvertent, inappropriate breakage of the cannula
16 and/or compromise of the clamping sequence for the
17 product that could generate this failure mode.

18 This led us to the conclusion that based
19 on the customer experience in the field, a more
20 user-friendly design is required, and that is the
21 focus for the redesigned product.

22 The critical priorities for the redesign,

1 number one, based on certainly feedback from
2 customers and, at least at this point, the
3 acknowledged acceptability and desirability, if you
4 will, for this approach to complement other methods
5 for reducing the potential for bacterial
6 contamination.

7 We are focusing on a rapid introduction of
8 a redesigned blood pack unit system, and clearly,
9 the goal, well, the obvious requirement is
10 incorporating a more user-friendly design which
11 should significantly further limit, if not
12 absolutely prevent, the potential for anticoagulant
13 to inadvertently enter the sample pouch.

14 Although there was extensive training and
15 work with customers when the current design was
16 introduced, obviously, there will be a renewed
17 focus on customer training and educational
18 activities associated with the use of this new
19 method of obtaining donor testing samples.

20 Questions?

21 MR. SKINNER: Thank you.

22 Questions for Dr. Binion? Dr. Lopes.

1 DR. LOPES: Was the U.S. version of the
2 product less user-friendly than the European
3 version, or did you have the same sort of issues
4 arise when you introduced the product in Europe?

5 DR. BINION: That is an excellent
6 question. At this point, any hard data regarding
7 the experiences during the introduction of this
8 same technology in Europe are unfortunately, the
9 matter of anecdote and/or loss to individual or
10 institutional memory.

11 Basically, with distribution of over 4
12 million units of this same design in Europe last
13 year, there were no reports of anything similar to
14 this at all, and the lack of incidents in Europe
15 is certainly consistent over the past several
16 years, and as I said, the technology was initially
17 introduced approximately 1999 time frame in Europe.

18 MR. SKINNER: Dr. Linden.

19 DR. LINDEN: So, right now then you are
20 selling bags that don't have any diversion pouches?

21 DR. BINION: Correct.

22 DR. LINDEN: Okay. Have you considered at

1 all setting up arrangements with any other type of
2 manufacturer to use somebody else's--

3 DR. BINION: That is entirely up to the
4 customers. That is the customers' choice
5 obviously. The only reason that the slide
6 indicated transitioning customers to Baxter blood
7 pack units was if that was what the customers
8 desired.

9 I mean clearly, the obvious choice, as you
10 have heard, there are other systems on the market,
11 customers ultimately make that choice at this
12 point.

13 DR. LINDEN: So, basically, this is not
14 intrinsic to the bag. Basically, the bag and the
15 pouch systems are entirely independent and can be
16 used a la carte, as it were.

17 DR. BINION: No, I don't think that is
18 what I said. Is that your question?

19 DR. LINDEN: I guess it is. The pouches
20 and the bags are separate, they are not intrinsic?

21 DR. BINION: No, as I think was
22 demonstrated in the presentation by Dr. Dickstein,

1 as well as Dr. Mirapol, and similarly for the
2 Baxter system, the sample diversion systems are
3 integral to each of the manufacturers' BPU designs.

4 DR. LINDEN: Right. That was my
5 understanding, which is why I was asking the
6 question I was. So, therefore, if you are using
7 Baxter bags, you are not using the diversion
8 pouches.

9 DR. BINION: Yes, I am sorry, I
10 misunderstood the question. What I was indicating
11 was that customers, yes, if folks are using the
12 Baxter bags right now, BPUs in the market, it is a
13 post-donation sampling technology, which was what
14 was available prior to the introduction of this.

15 What I was indicating was that if
16 customers wished to use one of the BPUs from the
17 other manufacturers, that is up to them.

18 DR. LINDEN: Right, but that would mean
19 using different bags.

20 DR. BINION: Right, right.

21 DR. LINDEN: I thought you meant they
22 could use a different--

1 DR. BINION: No, I am sorry, I apologize.

2 DR. LINDEN: --sampling system in
3 conjunction with your bags.

4 DR. BINION: No.

5 DR. LINDEN: I thought that is what you
6 were saying.

7 But my question is have you considered,
8 since it seems like you have a large challenge
9 before you to adapt your system to work with
10 basically a competitor to use their system
11 incorporated into your bags.

12 DR. BINION: I think the answer to that is
13 no.

14 DR. LINDEN: Okay.

15 DR. BINION: Actually, we are working very
16 closely with CBER to very quickly effect the
17 reintroduction of a design, which probably will
18 incorporate a repositioned break-away cannula that
19 will virtually eliminate the possibility of these
20 situations occurring.

21 Actually, from the design standpoint, it
22 is a relatively minor modification to the existing

1 BPU system, so we do expect to very rapidly
2 validate and work with CBER to reintroduce the
3 Baxter sample first system.

4 MR. SKINNER: Dr. Holmberg.

5 DR. HOLMBERG: Yes. I will make the same
6 statement that I made with Pall. I want to thank
7 you for being very open with some of the problems
8 that you faced in introducing this to the
9 marketplace.

10 The question that I have for you is you
11 mentioned that these samples were retested. Were
12 there any units that were lost?

13 DR. BINION: I believe that there were
14 voluntary withdrawals of a limited number of whole
15 blood collections from one or more of the blood
16 centers involved. We were not involved, nor, to my
17 knowledge, was there any sort of FDA or
18 FDA-mandated or perhaps even recommended action,
19 but there were individual actions taken by the
20 blood centers based on their assessment of their
21 situations.

22 I think the situation with regards to the

1 samples was certainly once, following the report
2 January 30th of possible sample dilution, then, the
3 customers who were currently using the product were
4 directed to reinspect or inspect, because, in fact,
5 not all customers, as it turned out, had inspection
6 procedures in place that focused on this type of
7 occurrence.

8 In the situation where compromised samples
9 were identified, I am sure that there were
10 collections that were interdicted.

11 DR. HOLMBERG: And how many centers did
12 you roll this out to?

13 DR. BINION: There were 14 customers in
14 the U.S. who received the sample first product, but
15 there were very widely varying usage patterns.

16 DR. HOLMBERG: Thank you.

17 MR. SKINNER: Thank you for your
18 presentation.

19 Next, we will hear from Allan Ross with
20 the American Red Cross Biomedical Services.

21 American Red Cross - Mr. Allan Ross

22 MR. ROSS: Mr. Chairman and members of the

1 committee, thank you for the opportunity of sharing
2 our experience on limiting and detecting bacteria
3 in platelet products.

4 My purpose of the presentation today is to
5 review how the Red Cross is meeting the AABB
6 standard, our implementation challenges after five
7 weeks of experience, our early results of testing
8 for bacteria in single donor platelets, and the
9 impact on platelet inventory and availability.

10 We made a number of decisions early on.
11 Certainly, we are going to test all platelet
12 collections by apheresis. We are going to
13 implement chlorhexidine improved arm scrub, and
14 sample first technology was important in our
15 strategy.

16 We decided to put the automated detection
17 systems in 35 locations for single donor platelets.
18 We made a decision not to test whole blood derived
19 platelets. Now, that was a decision made with a
20 survey of our hospital customers where over 90
21 percent of them said they wanted to do it
22 themselves considering the estimated costs that

1 they would experience.

2 We also wanted to continue to monitor
3 customer preferences in new technology and the
4 options of pooled platelets.

5 Our rationale for testing 100 percent of
6 single donor platelets was to meet the AABB
7 standard and, of course, increase the safety of
8 single donor platelets utilizing the automated
9 systems available for use, standardizing single
10 donor platelet inventory, and really, we had a
11 great deal of demand in the system for single donor
12 platelets.

13 We currently manufacture about 500,000
14 single donor platelets annually and about 900,000
15 whole blood derived random platelets.

16 Our rationale for not testing whole blood
17 derived random platelets, operationally, it is a
18 huge challenge, but the biggest reason from the
19 customer's point of view was the cost they are
20 going to experience, which was at least 40 percent
21 increase.

22 We also had a problem in our early trials

1 of attempting to culture whole blood derived
2 platelets of having a negative impact on the
3 available platelets in the containers that would
4 challenge us in meeting our quality control
5 requirements for the number of platelets in each
6 one of these individual containers, so that we
7 could meet the 90 percent rule whereby our
8 platelet counts where 90 percent of our platelets
9 would meet the count minimums.

10 We made a decision on automated testing
11 systems. We looked at one with high sensitivity, a
12 proven track record, and clinical setting, ease of
13 use and high degree of automation, and our cost was
14 about \$22.

15 Our original collection bag was sampled
16 before splits were made for single donor platelets.
17 We made a decision based on a medical office
18 recommendation to do aerobic bottle only. We
19 inoculate after a 24-hour hold at 20 to 24 degrees
20 centigrade. We incubate 12 hours before we label
21 and release our products, and then we continue to
22 incubate through the expiration date of those

1 products.

2 Suspect positive results, we dispose of
3 the platelet product in inventory or recall if the
4 product is released. We notify the physician if
5 the product was previously transfused. We dispose
6 and withdraw all co-components. In other words, if
7 there were red cells and/or plasma products made
8 from that particular collection, those are also
9 withdrawn and disposed of.

10 We identify organisms if product was
11 transfused, however, I think we are really looking
12 at going through further identification for all
13 positives that are identified.

14 We place the donor under a surveillance
15 system, so if we get two hits on them, then, we
16 will further evaluate that particular donor.

17 We made an attempt to be in constant
18 communication with our hospitals on our decision
19 processes, send the first letter out in November
20 03. We told them it was going to be about a \$25
21 increase in cost, the shelf life was going to be
22 reduced by 0.5 to 1 days.

1 We have told them about our plans for
2 notification of positive culture results, and we
3 also communicated that we would be limiting
4 bacteria in random donor platelets, not necessarily
5 testing.

6 The second letter was in December. We
7 confirmed a \$22 price increase, communicated no
8 plans to test for whole blood derived random donor
9 platelets, that would be the hospital
10 responsibility.

11 I send a third letter out in March where
12 we indicated discontinuation of sample first to
13 limit bacteria in whole blood derived random donor
14 platelets due to hemolysis in tubes, and extensive
15 arm scrubs would be continued.

16 If a hospital reported a positive culture
17 on Gram stain, the products were presumed
18 contaminated, other components from donations
19 discarded, and a deviation was filed with the
20 agency.

21 If a hospital reported a pH less than 6.2,
22 this indicated to us that the product failed to

1 meet our release criteria, and the product was
2 potentially contaminated, others components from
3 donations were discarded, and we filed a deviation
4 with the agency.

5 If a hospital reported pH 6.2 to 7.0, this
6 does meet our release criteria, no products or
7 reporting action taken by us, and the hospital is
8 encouraged to use Gram stain or referred to the
9 AABB bulletins on further actions.

10 Implementation challenges. There are
11 supply challenges, start-up costs, standard
12 operating procedures, staff training, apheresis
13 staff and laboratory, and then a space for
14 equipment in 35 locations. With some of the
15 volumes that we have on platelet production, and
16 the automated instruments taking up a lot of bench
17 space, benchtop space, it was a challenge, and we
18 had to do quite a bit of remodeling to accommodate
19 this.

20 Sample First technology. It was a short
21 time frame as far as availability of volumes of
22 bags that we use, logistics of conversion to new

1 bag sets from two different vendors, collection
2 staff training, problems with dilution of testing
3 samples from anticoagulant, problems with
4 hemolysis.

5 We were very concerned about test tubes
6 and the interference with the infectious disease
7 testing. We even went to quantitative-free
8 hemoglobin analysis to ensure that we were not
9 testing samples that did not meet the package
10 insert.

11 Challenges with implementation of
12 chlorhexidine arm scrubs to be used with donors who
13 are hypersensitive to iodine. The acceptable
14 storage temperature in their package insert is 20
15 to 25 degrees centigrade. That is a very, very
16 narrow temperature range. When you are doing 800
17 to 1,000 blood drive operations a day in all kinds
18 of environments, it is very difficult to meet that
19 standard of 20 to 25 degrees storage temperature,
20 so we end up throwing away all unused chlorhexidine
21 products that aren't used in that blood drive
22 because we can't guarantee they have been

1 maintained in that 20 to 25 degrees period. We
2 have also lost some products due to using arm
3 scrubs that are outside of the temperature range.
4 That has been about 250 donations.

5 Impact on safety. To date, the Red Cross
6 experience approximately 39,000 single donor
7 platelets tested. We have had 27 initial positive
8 results, 4 reproducible true positives, there is
9 still quite a bit of testing underway, 2
10 Staphylococcus, 2 Streptococcus, 6 contaminated
11 product interdicted to date.

12 I am sorry we don't have more detail, but
13 these data are very fresh for us, only in the past
14 6 week, or 5 to 6 weeks. We will have much more in
15 the coming months.

16 This is an example of the weekly impact on
17 our supply. Our average total inventory 4 weeks
18 prior to testing was 3,067, 3 weeks after it was
19 3,308. What is interesting is what has happened to
20 release inventory has gone down, in other words,
21 the available inventory. The work-in-progress
22 inventory has gone up by 38 percent.

1 So, while we have more products in the
2 pipeline, if you will, they are not available for
3 transfusion. Our overall production has been
4 pretty much the same. Customer shipments have been
5 increased somewhat.

6 Our outdates, interestingly enough, have
7 gone down by 28 percent. That is kind of counter
8 to what other folks have experienced. I attribute
9 it to two factors or several factors. Number one,
10 we did see, for the month, an increase in demand,
11 overall demand, for products.

12 We also think that customers are using
13 older platelet products now, where in the past they
14 used to shift back to always requesting fresher
15 products, and that always has increased outdates in
16 the past.

17 This is just an example where we
18 implemented. You can see the previous outdate
19 rates and then they have dropped off, but they are
20 coming back up a bit, so I expect to see this
21 normalized and very little change overall as we go
22 down this path with maybe outdate rates stabilizing

1 in the 5 to 6 percent range.

2 The availability conclusions. What we
3 have experienced, we used to have a shortage
4 between Tuesday and Thursday due to synchronization
5 of production and demand. Now, we have seen that
6 extended out all the way through Friday where we
7 are challenged on the those days.

8 We have plenty of products really
9 Saturday, Sunday, and Monday, and usually on
10 Tuesday. Where we always seem to have fewer is on
11 the latter part of the week, and that is mainly
12 because collections are not as great on the
13 weekends, primarily on Sunday.

14 As I mentioned before, we have much more
15 work-in-progress inventory. Our outdates are down,
16 I said before because customers are using whatever
17 is available, and some regions have shifted to 100
18 percent single donor platelets to avoid conversion
19 to sample first for whole blood collections.

20 In summary, our implementation with
21 challenging decisions have been reversed due to
22 unanticipated problems with supplies. Safety, I

1 believe has been positively impacted, we are very
2 supportive of this standard and utilizing this
3 technology. We are only one month
4 post-implementation, and we really look forward to
5 being able to implement the sample first diversion
6 pouches.

7 The manufacturers have been very
8 cooperative in working with us. We look forward to
9 putting those back into use.

10 Questions?

11 MR. SKINNER: Questions? Dr. Lopes.

12 DR. LOPES: I have two questions for you.
13 For the donors who have bacteria in their blood,
14 are these people who are on their way to being
15 sick, or is this chronic?

16 The second question is when hospitals do
17 their testing themselves on random donor platelets,
18 are they using just swirling, or are they trying to
19 culture something at that point?

20 MR. ROSS: The hospitals are using a
21 variety of methodologies, and I think we have
22 talked about that over the last 24 hours. Many of

1 them are using testing methodologies, many are
2 using Gram stains. Some are doing pH and glucose.
3 Some are using combinations of things, as Dr.
4 Bowman mentioned what they were doing at the
5 University of Minnesota, and I think that is
6 reflective pretty much of what is going on across
7 the country.

8 DR. LOPES: The other question was about
9 donors who are found to have--

10 MR. ROSS: Well, not being a physician, I
11 would not hazard a guess on that. Perhaps Dr.
12 Brecher could offer a comment factually.

13 DR. BRECHER: No comment.

14 DR. KUEHNERT: I just wanted to clarify on
15 this question because I am confused about what you
16 had said in the slide presentation that relates to
17 this question.

18 You said they get medically evaluated if
19 the donor is culture-positive.

20 MR. ROSS: Twice.

21 DR. KUEHNERT: Twice, but you don't know
22 what the organism is?

1 MR. ROSS: Yes, we will know what the
2 organism is, yes.

3 DR. KUEHNERT: But you are only
4 identifying the organism if the product is
5 transfused.

6 MR. ROSS: We are re-evaluating that
7 process right now.

8 DR. KUEHNERT: Okay. I will let others
9 speak and then ask a couple more questions.

10 MR. SKINNER: Dr. Penner.

11 DR. PENNER: A similar question. You are
12 allowing that donor to come back again even though
13 you found him to be positive the first time, so the
14 follow-up on that situation is--

15 MR. ROSS: This is similar to what
16 organizations are doing right now, because it has
17 been shown that 70 percent or thereabouts of these
18 positives are contaminations from the skin plug.

19 DR. PENNER: But you don't identify that
20 at the time, all you are identifying is positive,
21 you don't culture?

22 MR. ROSS: We are re-evaluating at this

1 time.

2 DR. PENNER: I see. Okay. Otherwise,
3 these people are drifting out there, and we don't
4 know what is going on.

5 MR. ROSS: Right.

6 DR. PENNER: One other question that is a
7 little different. How do you equate the single
8 donor versus random donor units?

9 MR. ROSS: How do we equate?

10 DR. PENNER: Equate them, yes. How many
11 single donor units or how many units cover a single
12 donor now that we have got all of these
13 manipulations?

14 MR. ROSS: If you are talking about how
15 many randoms equivalent to a single donor platelet?

16 DR. PENNER: Yes.

17 MR. ROSS: That is not our decision. That
18 is the clinical services and the physician
19 determination. If you talked to Dr. Ed Snyder at
20 Yale, he is using 3 to 4 randoms as equivalent to a
21 single donor platelet. There is other places using
22 5 to 6. We have even some others that we know are

1 using 10.

2 DR. PENNER: So, you haven't evaluated the
3 numbers at this point. It used to a 6-pack equaled
4 single donor, then, there is some question of maybe
5 a 5-pack, and a lot depends on the numbers, but I
6 would think that you would have some information as
7 to what the platelet numbers are in your randoms as
8 compared to a single donor.

9 MR. ROSS: Well, we think 5 to 6 is
10 equivalent to a single donor platelet, however, in
11 clinical practice, there have been many physicians
12 that have seen a corrected count increase just with
13 3 to 4, and that is really what they are looking
14 for.

15 DR. PENNER: Well, the problem comes up
16 when the physician is ordering platelets, he has no
17 idea what he is ordering now, and frequently, they
18 will order 6 or 10, or something of this sort, and
19 then someone has to decide we have got single donor
20 units, how do they equate, and there is a
21 translational effect there that probably needs some
22 attention.

1 MR. ROSS: Our recommendation is 5 to 6
2 whole blood derived randoms are equivalent to a
3 single donor platelet.

4 MR. SKINNER: Dr. Sayers.

5 DR. SAYERS: Allan, there is 27 initial
6 positives.

7 MR. ROSS: Thirty-seven.

8 DR. SAYERS: Those 27 initial positives,
9 do you know when during the incubation, those
10 positives were identified?

11 MR. ROSS: I don't have that data.

12 DR. SAYERS: I am just wondering how all
13 of us manage notification of physicians when the
14 product is found to be positive after transfusion.

15 MR. ROSS: I am told that we are seeing
16 these positives at 12 to 40 hours. That is the
17 time period.

18 MR. SKINNER: Dr. Linden.

19 DR. LINDEN: A couple of really logistic
20 questions. You mentioned co-components of red
21 cells in plasma although you are only doing
22 pheresis platelets, so you are using technology

1 that allows collections of platelets concomitantly
2 with plasma and red cells by pheresis?

3 MR. ROSS: Using trema [ph] technology, we
4 have the ability to collect apheresis platelets and
5 red cells in plasma.

6 DR. LINDEN: That is what I assumed. I
7 just wanted to clarify that.

8 You mentioned that some of your centers
9 had changed procedures solely to avoid the--

10 MR. ROSS: Yes. We have a number of
11 centers that were only manufacturing 500 to 3,000
12 whole blood derived random donor platelets out of
13 150- 200,000, and so why implement a second bag
14 technology into their processes when they can
15 convert to single donor platelets, and avoid
16 implementing sample first.

17 DR. LINDEN: Right, and even though you
18 are not using that presently, you anticipate going
19 back to that, and that was the reason for that, or
20 that you started and then discontinued because of
21 the problems.

22 MR. ROSS: I doubt that we will see

1 regions that were only making small amounts of
2 whole blood derived platelets go back to making
3 them. It doesn't make a lot of sense. If they
4 need them, they can import them from one of our
5 other centers.

6 DR. LINDEN: Right, and because of the
7 problems then with the hospitals needing to do
8 their own testing with the exception of the cost
9 issues, okay.

10 You mentioned that if the positives come
11 up--I know this was sort of asked before--you are
12 putting the donors on surveillance, but allowing
13 them to come back and donate, you are not notifying
14 the donors and not putting them on any sort of
15 donor deferral registry.

16 MR. ROSS: That seems to be the standard
17 practice within all blood collection agencies at
18 this time.

19 DR. LINDEN: So, your assumption is if
20 they are harboring bacteria in their antecubital
21 fossa because of extensive scarring, that they will
22 come back, and second time they will come up

1 positive a second time.

2 MR. ROSS: Yes.

3 DR. LINDEN: Like the donor with the 280
4 donations and the extensive scarring that we heard
5 about yesterday.

6 MR. ROSS: Yes.

7 Jerry, I know you are always going to ask
8 do we import platelets, and, yes, we import between
9 10- and 20,000 single donor platelets annually. We
10 have gone through a certification process where we
11 have written certification from blood collection
12 agencies that send us products that, yes, indeed,
13 they are implementing the standards and have done
14 so.

15 We have one supplier who is not, indicated
16 that they are doing testing, and in that case, we
17 have developed procedures to do testing with that
18 particular supplier.

19 DR. LINDEN: Just following up on the
20 question about the surveillance, if the test comes
21 up, since you are identifying, if it is a skin
22 contaminant, I see your point, but what if the

1 identification comes up as something more serious
2 like a gram-negative rod, for example, would your
3 strategy be any different?

4 MR. ROSS: Oh, absolutely. I think we
5 would probably defer that individual and refer them
6 to their physician for further follow-up. That is
7 really a medical office decision.

8 DR. LINDEN: But are you identifying the
9 organisms then?

10 MR. ROSS: We are definitely going to be
11 doing that, I believe, in the future.

12 DR. LINDEN: In the future, but you are
13 not doing that presently?

14 MR. ROSS: Not currently.

15 DR. LINDEN: Okay. So, presently, you
16 would not then be doing anything to identify
17 gram-negative--

18 MR. ROSS: If we have a second positive,
19 we do identify.

20 DR. LINDEN: Okay. But the first time, if
21 it's a gram-negative rod, you are not going to know
22 that.

1 MR. ROSS: That's correct.

2 DR. LINDEN: Okay. Thank you.

3 MR. SKINNER: Dr. Kuehnert.

4 DR. KUEHNERT: Just a couple of questions
5 to clarify. You had on your slide on your
6 positives, you had 4 true positives, and then you
7 said 6 contaminated products interdicted.

8 So, was there more than one product for
9 some of those 4 true positives?

10 MR. ROSS: Yes.

11 DR. KUEHNERT: Were they splits?

12 MR. ROSS: I don't have that data.

13 DR. KUEHNERT: I think it is very
14 important, you know, you mentioned being able to
15 trace back and also interdict other products, and I
16 think that is important.

17 You also mentioned as far as random donor
18 platelets, if the hospital tests and finds a
19 positive, then, those components are traced back,
20 and you said discarded. Will they be cultured or
21 they just going to get thrown away?

22 MR. ROSS: I don't think we have the

1 answer to that.

2 DR. KUEHNERT: Okay. Just give it some
3 thought.

4 The other question I had about it is you
5 had about testing, you had these pH thresholds, but
6 you didn't mention about glucose. It is just any
7 positive test by the hospital results in a
8 traceback, or just--

9 MR. ROSS: Our release criteria is not
10 based on glucose for regular platelet release. It
11 is based on pH and counts.

12 DR. KUEHNERT: So, if they do something
13 other than pH, then, basically, any test result
14 they get doesn't result in a response.

15 MR. ROSS: If they notify us that they
16 have a product with a decreased glucose, we would
17 ask them to do a pH.

18 DR. KUEHNERT: My final question along
19 this vein is that you also said hospital encouraged
20 to use Gram stain and referred to AABB
21 information, so you are not necessarily encouraging
22 them to culture if they get a positive result, but

1 just to do something consistent with AABB
2 standards.

3 MR. ROSS: Yes.

4 DR. KUEHNERT: My other questions were
5 about your testing using--you say you use the
6 aerobic bottle only, so that is one bottle, right?

7 MR. ROSS: Correct.

8 DR. KUEHNERT: Do you know how many ml
9 that is that you are using?

10 MR. ROSS: I think it is 4.

11 DR. KUEHNERT: And you said that you are
12 going to continue the incubation through the
13 expiration date, which I guess currently is 5 days.

14 MR. ROSS: Five days.

15 DR. KUEHNERT: And I have just heard
16 different things about what is in the package
17 insert, and I don't know if we need to discuss this
18 now, but I am not sure if anyone is following the
19 package insert, but I am not sure if this is
20 consistent with it or not, but I don't know if
21 anyone can answer that.

22 DR. HOLMBERG: I don't know whether you

1 are asking the question whether there is one bottle
2 or two bottles. Is that the question?

3 DR. KUEHNERT: No, I got that answered.
4 It is one aerobic bottle that they are using, but I
5 was asking for how long it is incubated for and
6 what the package insert recommends. I know for the
7 package insert for bottles, they recommend an
8 aerobic and anaerobic, but as far as the length of
9 time of incubation, I have heard 5 versus 7 days,
10 and I wasn't sure what the recommended time was and
11 whether this was consistent with the package
12 insert.

13 MR. ROSS: I believe the package insert
14 states to incubate until the product outdate.

15 DR. KUEHNERT: Thanks.

16 DR. GOMPert: Could you focus on the
17 supply issue, shortage issue? You have one month
18 of data, and it looks like from a 3-day supply
19 issue overall, you have now got a 4-day.

20 Do you anticipate this changing or getting
21 worse, or are you doing anything around changing
22 things around the supply issue?

1 MR. ROSS: Well, we all know that platelet
2 utilization is cyclical, and the variations from
3 one day to the next are tremendous, so we are
4 constantly up against a challenge on a supply. We
5 have the ability to move product from one side of
6 the country to another, and we do that on a daily
7 basis.

8 I believe our statistics on our fill rates
9 for platelet orders are in the range of 95 to 97
10 percent, and I believe that has continued through
11 the past 5 weeks without an impact.

12 What we have seen with more products in
13 work-in-progress is that our inventory is tighter.
14 We don't have the cushion that we used to have in
15 the past.

16 DR. GOMPERT: Are you going to focus on
17 that and do anything about it?

18 MR. ROSS: Well, we have been attempting
19 to focus on increasing platelet collections for
20 probably 50 years, and we have made great strides
21 in increasing production. Four years ago, we were
22 manufacturing about 275,000 single donor platelets,

1 and we are now manufacturing over 500,000 per year,
2 so, yes, we are constantly addressing this.

3 Each one of our regions has a target of
4 production of 40 percent of their single donor
5 platelet production to be on Saturday, Sunday, and
6 Monday, so that we can try and balance the
7 inventory and make up for the shortages or the
8 tightness that we see on Tuesday through Friday.

9 MR. SKINNER: Dr. Holmberg.

10 DR. HOLMBERG: I need a clarification on
11 how many units, and I don't know if you have this
12 information, how many units were lost because of
13 the hemolysis or the dilution or even units that
14 were returned back from the hospitals in which you
15 had to pull the other products.

16 MR. ROSS: I don't have that data. For
17 the anticoagulant dilution, it was very small. For
18 the hemolysis issue, it was a bit larger. The
19 implementation of quantitative free hemoglobin
20 analysis helped us salvage a lot.

21 When you look at the qualitative analysis,
22 where it is really a colorimetric comparator chart

1 on hemoglobin comparison, it gets pretty gray, and
2 working with the agency, we lowered what would be
3 the cutoff normally and then implemented
4 quantitative hemoglobin determinations, and that
5 helped salvage most of the units, so the losses
6 were very small.

7 DR. HOLMBERG: Just another quick
8 question. What percentage of your platelet
9 inventory is now apheresis?

10 MR. ROSS: That is about 75 percent.

11 DR. HOLMBERG: Okay. Thank you.

12 MR. SKINNER: Dr. Sayers.

13 DR. SAYERS: Allan, I am looking at ways
14 to promote availability here, and if you look at
15 that supply impact table of yours, something like 9
16 to 14 percent of the apheresis products are
17 outdated, so the question is do you think that if
18 there was an extension of platelet dating, that
19 outdate rate could be reduced?

20 MR. ROSS: Absolutely, no question.

21 MR. SKINNER: Dr. Holmberg.

22 DR. HOLMBERG: Just another quick

1 question, hopefully, it is a quick question.

2 The hospitals that you serve, do they
3 either go random, whole blood derived platelets, or
4 apheresis, or do they take a mixture?

5 MR. ROSS: Out of the 2,500 hospitals that
6 we serve, we probably have 4 to 6 that are whole
7 blood derived predominant, and we have quite a few
8 that are single donor platelet only. So, I can't
9 give you absolute numbers, but we know that we have
10 customers that have preference for whole blood
11 derived platelets. You heard from Dr. Bowman
12 yesterday was one, there are several others, but it
13 is really a mix, I would say.

14 DR. HOLMBERG: Do you have any idea from
15 the hospitals, the ones that have a mix, do they
16 have different criteria for what patient receives
17 what product?

18 MR. ROSS: I don't know that.

19 DR. PENNER: I might be able to add that I
20 think a lot depends on cost factors for many of
21 these hospitals, at least from the ones that I have
22 surveyed. It comes down to the additional cost of

1 the single donor as opposed to the randoms, and
2 many of them preferring the randoms because they
3 can get by with a reduced cost and do dipsticking
4 if need be.

5 MR. SKINNER: Ms. Lipton.

6 MS. LIPTON: I was just going to comment
7 that Dr. Sazama is going to be presenting some data
8 from a survey, and I realized in going through the
9 survey, there were some things we were not going to
10 present, but they may be interesting to the
11 committee in terms of what people are planning to
12 do about donor deferrals. We were just trying to
13 get a sense of what is happening.

14 I don't think we are going to have time to
15 put it together in time for her presentation, but
16 maybe, with your indulgence, maybe over lunch we
17 could put a few of these into slides, so that you
18 could see, and you can see what hospitals are
19 planning to do.

20 We have questions about what people are
21 planning to do with the co-components, deferrals,
22 and then we also have some data on hospitals and

1 whether they have switched from whole blood derived
2 to pheresis platelets or the other way around.

3 So, that might help put some parameters
4 around this discussion.

5 MR. SKINNER: Thank you very much.

6 MR. ROSS: Thank you.

7 Next, on the agenda, we are going to hear
8 from the America's Blood Centers. Presenting for
9 them will be Mike Fitzpatrick.

10 America's Blood Centers

11 G. Michael Fitzpatrick, Ph.D.

12 DR. FITZPATRICK: Good morning. I want to
13 thank you for the opportunity to present to the
14 committee.

15 In the interest of full disclosure, I need
16 to let the committee know that in the past, I
17 served as a consultant to the Navy and the
18 Department of Defense for frozen platelet license
19 applications to the FDA. I also serve on two
20 scientific advisory boards for companies that are
21 developing lyophilized products. One is
22 Hemocellular Therapeutics, the other is AdLife. I

1 receive no compensation other than per diem and
2 travel for those, whatever they get from my
3 comments.

4 I am employed by America's Blood Centers,
5 and so I hope you are all aware of that. You have
6 both a written statement and copies of the
7 presentation. I would like to just highlight a few
8 things in the written statement as we go through
9 the presentation.

10 The first part is just to remind you that
11 ABC serves a heterogeneous group of 75 nonprofit
12 community blood centers. From that, we provided 7
13 million donations in 2003, operate in 45 states and
14 Hema-Quebec in Quebec, Canada is one of our
15 members.

16 We will skip the first part about
17 transfusion. I think we all recognize the risks of
18 bacterial contamination and the fact that testing
19 is warranted.

20 The third paragraph, however, a number of
21 interventions have been attempted to reduce this
22 risk including pH, testing glucose levels, changing

1 the arm scrub, swirling, culturing and
2 inactivation, but it is only recently and through
3 the emphasis of AABB Standards Committee and the
4 Transfusion/Transmitted Disease Committee that we
5 have a method that allows us to, within 48 hours of
6 sampling, be able to interdict units that have
7 large bacterial loads or a bacterial load that can
8 be detected.

9 The implementation of the standard
10 requires methods to reduce the chance both of
11 bacterial contamination and identify the
12 contaminated units. The implementation could
13 prevent between 67 and 333 deaths per year based on
14 Dr. Mark Brecher's presentations and estimates.

15 Just as a reminder, similar actions were
16 taken last year by the blood collection community
17 to reduce the risk of transfusion of West Nile
18 virus very successfully, interdicting about 1,000
19 potentially infective units.

20 I just want to remind the committee that
21 the ABC members are implementing bacterial testing
22 with the same diligence and dedication that was

1 applied to the implementation of West Nile virus
2 testing, and we hope the impact on patient safety
3 will be as successful.

4 We surveyed our members rather quickly in
5 order to prepare the data for the committee. We
6 have tried to provide as up-to-date results as
7 possible, so the results you are going to see are
8 as of Tuesday.

9 Fifty-four of our 76 centers have
10 responded to the survey distributed to determine
11 the impact of implementation. We tried to
12 ascertain their methods used to comply with the new
13 standard. These centers that have replied so far
14 collect about 80 percent of the American blood
15 centers blood supply.

16 Thirty-nine or about three-quarters of the
17 centers produce whole blood platelets, and 85
18 percent produce single donor apheresis platelets.
19 About 70 percent of those produce double apheresis
20 platelets, and a third produce triples.

21 All but 5 centers are currently testing
22 apheresis platelets for bacterial contamination.

1 One center does not make apheresis platelets,
2 another center plans to implement testing next
3 month, and area hospitals are doing the testing for
4 the other two centers.

5 There is a recap of that.

6 As you can see, 92 percent of the
7 reporting centers have implemented.

8 The methods being used to test apheresis
9 platelet for bacterial contamination from the
10 reporting centers, as you can see, 78 percent are
11 using the Bac-T Alert system. Pall accounts for
12 another 20 percent, zero percent are doing Gram
13 stains, and 2 percent are using a dipstick.

14 As far as whole blood platelets go, our
15 centers that are producing whole blood platelets,
16 as you can see, some have implemented testing, 39
17 percent have implemented testing, 6 percent plan to
18 implement, and the other 30 percent, their
19 hospitals will be doing the testing.

20 The methods being used, predominantly the
21 dipstick. Again, no one is doing the Gram stain,
22 Pall and Bac-T Alert systems account for the other

1 38 percent of the whole blood testing.

2 That dipstick does include, those results
3 include the plans of the hospitals that would be
4 doing the testing where you saw that hospitals
5 would be doing the tests.

6 So, what is happening to our distribution
7 policies and the shelf life of platelets after the
8 implementation of testing? This is the days to
9 expiration at distribution on the bottom here from
10 our centers for whole blood and apheresis
11 platelets.

12 You can see it is sort of across the board
13 between 3 and 4 days at distribution left on the
14 shelf life of the platelet when it is being
15 distributed, most of it in the 4 to 3.3 day range
16 area.

17 The time it takes from collection to do
18 the bacterial testing varies from center to center
19 based on the method that they are using, and when
20 we surveyed them to try and look at the impact of
21 doing the testing and the sampling on their
22 procedures and their distribution system, the

1 shortest time frame is a center that is doing the
2 Bac-T Alert system, and as you can see by the
3 comment, is sampling at 12 hours, but has validated
4 that process and has notified FDA for a variance to
5 use the system in that manner.

6 The rest of the centers are sampling at
7 24. We have some centers taking as long as 40
8 hours, 48, and 54, and this center is using
9 dipsticks to test apheresis platelets at
10 distribution.

11 So, you see that of the 54 respondents, we
12 have a variety of methods being used to implement
13 it, and the impact on the time at each center
14 varies based on their processes.

15 Outdates. A number of our centers began
16 testing last year. We felt that 30 days worth of
17 outdate data was not significant enough or valid
18 enough to report to the committee, so we took those
19 centers out of the survey, and are only providing
20 information on outdates for centers that began
21 testing prior to February 2004 and have a minimum
22 of three months of experience with testing.

1 You heard from two of those centers
2 yesterday, Puget Sound and Florida Blood Services
3 both are ABC members. You can see here that of the
4 12 centers that qualify with those caveats, there
5 were no changes in outdates at 7, increases at 5
6 ranging from 3 to 7 percent with an average of 5.2.
7 You will recall from yesterday, you heard one
8 center that had about a 3 percent increase, the
9 other about a 7 percent, so you heard from both
10 ends of our range yesterday.

11 I provided some anecdotal comments
12 received on the survey, not because they are
13 statistically significant and not because they are
14 a valid random sampling of the members, but because
15 of the short time frames since implementation, we
16 felt that it is important that the committee know
17 the impact on the centers and what they are
18 perceiving as the impact on them even though it
19 isn't what you would consider a statistically valid
20 sampling of 54 centers. These are just anecdotal
21 comments.

22 One of the questions raised by Dr.

1 Holmberg has been the impact on whole blood
2 platelets and what is the impact. In 30 days, we
3 don't really have good numbers to provide you a
4 statistical impact, but you can see here 4 centers
5 reported they stopped making them.

6 Another says it is producing two-thirds
7 less, another one about 25 percent less, and that
8 hospitals are preferentially ordering single donor
9 apheresis platelets in order to avoid testing, so
10 their distribution of whole blood platelets is
11 down.

12 However, some other centers reported very
13 little change. Hospitals were not willing to
14 change their use of random platelets for apheresis,
15 probably an economic factor, and don't want to be
16 involved in platelet testing at their facilities,
17 so that center was doing whole blood testing for
18 the hospitals.

19 Most of this center's hospitals had
20 already converted to single donor apheresis.

21 This center, the hospitals have employed
22 the dipstick method and have seen no change in

1 their whole blood platelet ordering or distribution
2 pattern.

3 So, what is happening at the hospitals?
4 Again, just anecdotal information, some hospitals
5 are reporting numerous false positives, the blood
6 center is culturing those that don't pass, it is a
7 very subjective test, and creating unnecessary
8 cultures.

9 It will be nice to quantify that over
10 time, so that we can see the effectiveness of the
11 testing.

12 Another hospital that agreed to implement
13 a process to test random donor platelets. Fifty
14 percent of their customers haven't been able to
15 achieve that goal, so now they are refusing to
16 accept them. They will implement whole blood
17 testing, but only when an improved cost effective
18 method is available.

19 What has been the impact of the centers?
20 Again, over time we will be able to quantify this
21 better. The two presentations you heard yesterday
22 from Puget Sound and Florida Blood Services, I

1 think gave you a good feel for the impact on the
2 center, but they are seeing a need for increased
3 staffing, increased costs, changing blood bags and
4 implementing new processes is a big undertaking,
5 have to add staff, they are moving more products,
6 they had to increase their deliveries, purchase
7 additional incubators in order to be able to
8 quarantine the products appropriately, changing
9 their release procedures, and changing the times
10 that they do things.

11 Again, as you heard yesterday, it is not a
12 simple process, it's a doable process.

13 But is testing the ultimate solution?
14 There are other things in the works, and while we
15 all agree that bacterial contamination is a risk,
16 and fatalities occur from it, we need to go beyond
17 just testing and beyond just this system of
18 testing, and I think Dr. Holmberg, when he charged
19 the committee at the beginning and Mark Skinner has
20 reinforced that we are not here to debate whether
21 or not we should be implementing the standard, we
22 are not here to debate whether we should be doing

1 testing or not, but is there something that can be
2 done to make this a more cost effective, reliable,
3 faster, efficient method of preventing bacterial
4 infection in patients.

5 There are some things going on. There are
6 alternate storage solutions. There are
7 investigators looking into storage solutions that
8 can be used for refrigerated temperatures.
9 Freezing or lyophilizing platelets would allow
10 extra time for testing to be done before release
11 and could help with inventory issues if there are
12 inventory problems.

13 Inactivation methods have taken a turn for
14 the worse with the results of some studies that
15 have been in process, but I don't think we should
16 abandon inactivation methods if there are
17 reasonable, safe methods that can be developed.

18 We know of manufacturers that are looking
19 at filtration techniques to remove bacterial or
20 viral or other transfusion-transmitted disease
21 agents. Simple, quick things that we heard about
22 yesterday are pre-pooling. If we can get approval

1 and find a way to collaborate with FDA on studies
2 that are smaller to allow sites and collection
3 agencies to pre-pool platelets, test one product
4 instead of six, you saw a very excellent example of
5 how cost effective that could be, how it could
6 impact on availability, and is being done in other
7 countries.

8 So, I think that is one of the more
9 time-sensitive things that we could do if we can
10 collaborate with FDA and a more reasonable number
11 of samples to approve that method.

12 The other is extension of the shelf life
13 to 7 days, and again you discussed that yesterday,
14 and you heard from Allan Ross of the impact that
15 that would have on outdating. Most likely it would
16 reduce outdating.

17 So, in conclusion, we encourage this
18 committee to recognize this is only the first step
19 in the journey to eliminate the risk of bacterial
20 transmission from blood transfusion, and it is a
21 significant and important step.

22 We need additional research to develop

1 simpler, quicker methods. We need to improve the
2 storage media and techniques that inhibit or
3 inactivate bacterial growth and allow time to
4 defection, and we need to do all this without
5 impacting availability.

6 With that, I will conclude and thank you
7 for this opportunity.

8 MR. SKINNER: Thank you, Mike.

9 Questions? Dr. Linden.

10 DR. LINDEN: Thank you, Mike, for the very
11 timely and helpful information.

12 On the outdated information on the pheresis
13 platelets, this is very interesting and helpful.

14 Do you have any data on the increased time to
15 release that was caused by the testing for the
16 bacteria, the culturing, or did you ask only about
17 the outdated per se?

18 DR. FITZPATRICK: We asked about time to
19 release and time to distribution. The results of
20 that were relatively hard to interpret, and we are
21 going back to validate some of that information.

22 It appears that the additional sampling

1 and testing using the Bac-T Alert system is not
2 extending the time in process beyond what was
3 already the time in process because of infectious
4 disease testing at most sites, but again that is
5 just the impression from these surveys, and we
6 still have to validate and clarify some responses.

7 DR. LINDEN: Thank you.

8 Also, I am curious about the one center
9 that isn't accounted for. Do you have one that
10 isn't going to do anything at all, or was there an
11 error in the numbers?

12 DR. FITZPATRICK: It must be an error in
13 the number, no, everyone had responded.

14 DR. LINDEN: Okay, because you had five
15 centers.

16 DR. FITZPATRICK: We had five, right.

17 DR. LINDEN: That weren't testing, and
18 there is one that doesn't make apheresis platelets,
19 one that is going to be doing it next month, two
20 that are sending it out elsewhere, so I am just
21 curious about the fifth one.

22 DR. FITZPATRICK: I am sorry, there are

1 two that don't produce.

2 DR. LINDEN: Okay. Lastly, the 4 percent
3 of your centers, which I guess is maybe three, that
4 are using pH and glucose, are they using
5 quantitative testing on pH and glucose meters as
6 opposed to something like dipsticks, and are they
7 planning to convert to one of the culture methods,
8 and are they very small centers? Can you tell me
9 more about those sites?

10 DR. FITZPATRICK: Those are all good
11 questions, and that is actually what we are going
12 back to validate and clarify. We didn't get a
13 response from all the centers on the method being
14 used, so we are going back and asking what method
15 they are using, is it an adjunct to other testing
16 and how they are going about it, so I can't
17 honestly answer that right now because we don't
18 have that information.

19 MR. SKINNER: Dr. Holmberg.

20 DR. HOLMBERG: Mike, thank you for giving
21 us that wealth of data. What percentage of your
22 sites are doing apheresis, has there been a shift

1 in the apheresis, do you have an idea of
2 percentage?

3 DR. FITZPATRICK: Total out of the 75
4 centers, for those that produce platelets, which is
5 about 80 percent, probably about 80 percent are
6 doing single donor apheresis. That may be closer to
7 100 percent, I would have to go back and check.

8 DR. HOLMBERG: I have on more question as
9 Allan Ross preempted my question. I would like to
10 ask you the same question.

11 In your facilities that import and export,
12 are there any statements that go along with these
13 imports and exports that say that these products
14 have been tested?

15 DR. FITZPATRICK: As you an imagine, with
16 75 members, there are a number of import agreements
17 between members, and the members have negotiated
18 and discussed that amongst themselves, and they
19 don't involve us at the association level as to the
20 details of those agreements, so that was not
21 something we asked in the survey, and we could
22 certainly do that in the future.

1 DR. HOLMBERG: I thank you again. I think
2 that there is an importance of continuing on with
3 the survey to monitor. Thank you.

4 MR. SKINNER: One more question. Do you
5 have any information or the data on the cost or the
6 impact on the centers from implementing?

7 DR. FITZPATRICK: That varies
8 significantly from center to center. We did ask
9 about increased cost. I didn't report it because
10 the responses we got again require clarification
11 and some validation.

12 Most centers have reported an increasing
13 cost. The association has a group purchasing
14 contract with BioMerieux for the Bac-T Alert
15 system, so the centers using the Bac-T Alert
16 system have pretty much a homogeneous cost.

17 The range of cost that we saw reported was
18 between about \$5.00 per unit to a high of I think
19 of about \$23 per unit, but again that requires some
20 clarification and validation.

21 MR. SKINNER: Any other questions?

22 [No response.]

1 MR. SKINNER: Thank you.

2 At this point, the committee will take a
3 break, if we could try to return around ten after
4 11:00. Thank you.

5 [Break.]

6 MR. SKINNER: Our next presentation, we
7 are going to hear again from the American
8 Association of Blood Banks. Dr. Kathleen Sazama is
9 going to present again.

10 American Association of Blood Banks

11 Kathleen Sazama, M.D., J.D.

12 DR. SAZAMA: Thank you. It's a pleasure
13 for me to be able to present some data to you. I
14 know that is an important aspect of your meeting.

15 So, I want to say just a little bit about
16 what AABB has done in the last few days. The AABB
17 has, with the support of and at the initiative of
18 the Scientific Section Coordinating Committee,
19 which is one of the standing groups of the AABB,
20 led by the current chair of that group, Dr. Connie
21 Westhoff, and assisted by Tony Kasina and Dr. Dan
22 Waxman, developed a survey.

1 The AABB national office staff, which
2 included Karen Shoos Lipton, Gene Auter, Mark
3 Pierce, and Liz Parrett, further refined the
4 survey, and it was distributed on March 30th. It
5 was distributed to over 1,100 institutional AABB
6 member contacts, which does not include
7 approximately 200 additional ones where the e-mail
8 bounced back, so there was a reason why it didn't
9 go through.

10 Within 24 hours after this distribution,
11 we had received over 200 responses to the survey.
12 The specifics about the survey are it was on line,
13 it was estimated that any person knowing the
14 answers to all the questions could complete the
15 survey in less than 10 minutes.

16 The survey was divided into four parts,
17 each containing approximately 20 questions. The
18 first part, intended for facilities that transfuse
19 platelets only, referred to as "transfusion
20 services."

21 The second was for facilities that both
22 receive and manufacture platelets and then

1 transfuse them, and the third, for facilities that
2 just manufacture and transfuse. These are going to
3 be shown together subsequently and will be referred
4 to as "hospital blood banks."

5 The fourth were for facilities that only
6 manufacture platelets and distribute them to their
7 customers for transfusion.

8 From the preliminary results we have
9 received so far, we have combined Section 2 and 3,
10 and these are hospitals that are independent or a
11 blood center that also manufactures platelets or
12 manufactures some and receives some from external
13 suppliers, so just so you understand how the data
14 are depicted going forward.

15 There were a number of questions asked,
16 and we are going to focus on only a few. I caution
17 you this is very preliminary, again based on the
18 initial responses, but we thought it would be
19 important to have at least this much information.

20 One of the questions was have you
21 experienced platelet shortages as a result of
22 bacterial contamination testing since March 15th,

1 2004. This was asked of the transfusion services
2 and the hospital blood bank facilities.

3 Here is how they answered. I call your
4 attention to the fact that 54 percent of the
5 respondents indicated that there was no increase in
6 platelet shortages, which should be somewhat
7 reassuring.

8 There were 26 percent, 16 respondents,
9 that said yes, they had experienced shortages, but
10 they couldn't necessarily link it to the fact that
11 bacterial contamination testing had started, so
12 only those, the 16 percent said that yes, they had
13 had shortages and they believed it to be due to the
14 initiation of testing for bacterial contamination
15 is the group that I think would be reflective of
16 those that might be having a problem.

17 I would also note that there were over 200
18 responses to these questions, and that number will
19 change slightly as we go forward. Not every
20 institution answered all the questions, and so
21 forth.

22 Okay. That was the transfusion services.

1 You will notice that the hospital blood bank N is
2 much smaller. This is 34. So, we will always keep
3 that in mind, but you will see a much higher
4 percentage, 70 percent said there was no shortage,
5 and this number is around 12 percent, probably no
6 different because of the small numbers that
7 actually had experienced some sort of increase in
8 platelet shortages.

9 How about the percent increase in platelet
10 shortage? The question was considering your usual
11 inventory of platelets, what is the percentage of
12 the shortage.

13 Since most facilities answering the
14 previous question stated they were not experiencing
15 a platelet shortage, we looked to this question to
16 confirm those answers, so what we saw from the
17 transfusion services is that 59 percent of them
18 said it wasn't applicable, they weren't having
19 shortages, but among those that were having, which
20 ended up being a N of 94, you can see that 61
21 percent said less than 10 percent increase in
22 shortages, and 31 percent said between 10 and 25

1 percent. Together, that is 92 percent.

2 So, there were some, five facilities that
3 had between 25 and 50 percent increase in platelet
4 shortages, and four facilities that expressed a
5 greater than 50 percent increase in platelet
6 shortages. So, clearly, there appear to be some
7 facilities that are having difficulties.

8 The hospital blood bank response, again, I
9 call your attention to the small N. Seventy
10 percent again said there wasn't a problem, so they
11 didn't answer this question. So, of the 10 that did
12 answer, 60 percent said again that they had less
13 than 10 percent of a shortage, and 30 percent said
14 between 10 and 25, and only one facility had
15 between 25 and 50 percent shortages, and none
16 reported a greater than 50 percent shortage. This
17 may reflect, of course, that these are facilities
18 that can manufacture their own.

19 Another question on the survey had to do
20 with what is the dating on your freshest platelet
21 in hours. The transfusion services normed around
22 48 to 72 hours. Now, what is not shown in here is

1 different, but this is the average, 51 percent said
2 that they are getting their platelets at 48 to 72
3 hours.

4 Notice that some of them, 6 responders, 3
5 percent, said they are getting them at 96 to 120
6 hours. This is almost with no time left, but
7 again, as I say, we don't know whether that is
8 their standard practice, and 1 percent, or 3 of
9 them, said they are getting them in under 24 hours,
10 so that there are some who are getting them from
11 their supplier very quickly. This doesn't also
12 break out whether they are getting apheresis or
13 whole blood derived platelets.

14 The hospital blood bank response again
15 was a little sooner, about 70 percent were by the
16 48 to 72 hours, but half of those were within 24 to
17 48 hours, so again, there is practically an even
18 distribution around that time frame.

19 Another question had to do with is there
20 an increase in platelet outdating as a result of
21 bacterial contamination testing, and, if so, what
22 is the increase in the percentage of outdating.

1 The transfusion services again, 63 percent
2 said there has been no problem. Of those that
3 answered yes, which ends up to be a N of 35, there
4 is an increasing number of them saying that greater
5 than 7 percent have been outdated from them.

6 Notice also that 22 percent, or 51
7 responders, had some other answer, and, of course,
8 this bears further scrutiny to see how that would
9 impact the numbers, but again, we do see certainly
10 there appears to be some hospitals that are
11 experiencing increases in platelet outdateding
12 greater than 7 percent.

13 How about the hospital blood bank
14 response? Again, these are people who are creating
15 their own or importing, as well as transfusing.
16 There is an N of 10 who responded that they did see
17 a change in the outdateding, and more of these were
18 shifted toward the greater than 7 percent.

19 How about platelets available for
20 distribution?

21 The question was since implementing a
22 method of bacterial detection on platelets, has

1 your facility been able to meet platelet supply and
2 ease of your transfusion service centers.

3 Fortunately, there were 43 blood centers
4 that responded to the survey including the American
5 Red Cross, which is counted as only one respondent,
6 so you have already seen those data separately, but
7 factor that in that that one represents a number of
8 facilities.

9 The blood centers basically said have you
10 had a problem--sorry--since implementing, has your
11 facility been able to meet platelet supply. The no
12 answer means they were not able to meet; the yes
13 answer means they could meet the supply
14 requirements.

15 So, if 79 percent of the 43 responders
16 said they were able to meet the requests for their
17 transfusion services without difficulty, and only 3
18 of the 43 indicated that they were having
19 difficulties.

20 The other answers are also of interest on
21 that slide, and we certainly will look further into
22 what those responses meant. In some cases, the

1 answer other was there was a problem, but it didn't
2 have anything to do with bacterial testing.

3 Another question that was on the survey is
4 what percentage of need for platelets has your
5 facility not been able to meet. To ensure there
6 wasn't an unmet need, we asked all of these blood
7 center respondents to tell us if they were aware of
8 any unmet needs.

9 You will see again 67 percent, or 28, said
10 there wasn't a problem, so they couldn't answer the
11 question, but of the 14 who said that there was, 64
12 percent of them said that that unmet need
13 represented less than 10 percent of all the
14 requests that they had, however, 2 facilities, or
15 35 percent of those who answered said that
16 they--that number is wrong, sorry, 3.5
17 percent--said that they had a greater than 50
18 percent. Sorry about that statistic, that's not
19 correct.

20 So, that is the last slide I am going to
21 talk to you about from the survey. Again, I think
22 you can appreciate that these responses came in and

1 it took a very facile team at the national office
2 to be able to provide us with that much data.

3 But we thought you might be interested in
4 what has been happening with assessments. In the
5 month of March, there were 85 AABB assessments
6 conducted, 5 at blood centers, 29 at hospital blood
7 banks, and 51 at transfusion services.

8 You will notice that there was only one
9 non-conformance written with respect to bacterial
10 contamination, representing about 1 percent, and
11 that facility happened to be a non-U.S. blood
12 center, so one of our international or not on the
13 continent U.S. blood centers.

14 I am going to take the opportunity since I
15 have the podium to share a little bit now about the
16 experience at my facility.

17 Those of you who know M.D. Anderson Cancer
18 Center probably know that we serve a large
19 population of cancer patients who frequently have
20 disease that is otherwise not treatable. That
21 creates a unique kind of situation for us and a
22 unique demand. So, let me just share with you a

1 little bit about M.D. Anderson.

2 I apologize, these still have AABB logo on
3 them because we just couldn't figure out how to
4 swap between, but this is M.D. Anderson.

5 We transfuse between 250 and 400 whole
6 blood derived platelets a day, 95,000 a year. We
7 transfuse between 10 and 15 apheresis platelets a
8 day, or about 5,000 a year, and we transfuse our
9 platelets at under 30 hours of age.

10 Now, those of you who are in this business
11 think about that. So, when the bacterial
12 contamination standard arose, it presented a unique
13 challenge for us.

14 At our facility, we collect about 40,000
15 units of whole blood, and we produce about 35,000
16 units of whole blood derived platelets per year.
17 That is our own production to try to meet that
18 demand. We collect about 4,500 apheresis platelets
19 a year, so you can see that we also import
20 platelets, about 60,000 whole blood derived
21 platelets, and preempt the question, yes, we do
22 have an agreement with our suppliers that they are

1 either providing the testing or expect us to do it,
2 and we respond, whichever it is.

3 Let me just say what we have done.
4 Beginning in about May of 2003, we began planning
5 and evaluating how we were going to meet the
6 standard. We had been having discussions ahead of
7 that time, but we hadn't really sat down in a
8 planning meeting before.

9 In October, we initiated whole blood
10 collection with diversion, since the bulk of our
11 platelets are whole blood derived, that clearly was
12 an area that we were concerned about. Of course,
13 we were among the facilities, we were using the
14 Baxter system, and obviously, we now no longer are
15 using the diversion system because they have
16 withdrawn the bags.

17 I will tell you that this has created some
18 difficulties for us. We were not experiencing any
19 problems, and we participated in the retro review
20 of any difficulties, and were able to show that we
21 did not experience any difficulties either with
22 hemolysis or with anticoagulant dilution of

1 samples.

2 I want to give credit where credit is due.
3 Baxter spent an enormous effort with us to be sure
4 that our techs were trained properly and were using
5 the system as it was intended to be used. It may
6 be that we just had too small an N to see the
7 hemolysis problem, so I can't comment on that.

8 In February of 04, we changed our arm prep
9 to delete no green soap. We still principally use
10 iodine, but we do use chlorhexidine for
11 iodine-sensitive donors.

12 In January and February, we reviewed the
13 swirling CD, figuring it was worth the effort at
14 least to know what that was supposed to look like,
15 and then on February 25th, we began culture of
16 apheresis donors collection, and I will explain
17 that in a minute, using an automated culture system
18 that was in use in our microbiology laboratory.

19 On March 1st, we began using dipstick of
20 whole blood platelet pools, and we are culturing
21 samples of whole blood platelet pools. I call your
22 attention to the fact that we are dipsticking

1 pools, and we have validation around that to
2 support the fact that we are doing pools.

3 When you issue as many platelets per day
4 as we do, there was no way we could meet patient
5 need if we had to slow down and dipstick every
6 single separate whole blood concentrate. We just
7 can't meet patient need by doing that, and we have
8 tried. We have looked at all kinds of variables to
9 try to do that.

10 So, here are the data. Our criteria for a
11 positive dipstick is pH less than 6.5, glucose less
12 than 1,000. If the glucose is between 500 and
13 1,000, it is evaluated by one of the transfusion
14 medicine physicians.

15 We use urine dipsticks and we read them
16 with an automated reader. We do not depend upon
17 visual detection of this. We have tested 1,690
18 pools. This is through Monday of this week, 10,789
19 individual. All results have been negative. Of
20 those, we have tested 40 pools, which represents
21 300 individuals, and all of those results have been
22 negative.

1 The criteria for positive is growth within
2 30 hours, and we hold them for 7 days.

3 With our apheresis platelet, we take the
4 culture from the donor. There just is no other
5 feasible way for us to do this culturing, and we
6 recognize that in so doing, just as with using
7 dipstick, we are increasing the probability of
8 finding a positive, that is, we expect to have a
9 higher number of false positives.

10 However, put that on the risk-benefit, and
11 clearly, that is safer. If you are having a higher
12 number of false positives, chances are you are
13 catching all the true positives, as well.

14 We culture for a minimum of 12 hours. By
15 that, I mean everything we collect today will be
16 transfused by noon tomorrow. It will be fully
17 tested by all methods that are currently required
18 to meet the standard in the way I am describing,
19 but we issue and transfuse those platelets at noon
20 tomorrow.

21 So, we keep the culture only until they
22 are issued. If the platelet unit is returned, we

1 issue again with a dipstick result. We don't hold
2 the cultures for these. We are only verifying our
3 dipstick for the pools with the culture method that
4 I have talked about.

5 We have had 3 culture-positive signals
6 from our automated microlab. One of those was
7 negative on Gram stain and 2 were gram-positive
8 cocci, so far preliminarily thought to be Staph, 1
9 of which had been transfused two hours earlier.

10 We do contact the physician. We have not
11 done any follow-up with this donor as yet. We are
12 still in discussion about what we want to do, and I
13 am very pleased to hear what is being done in the
14 other large facilities.

15 That is how we are trying to meet the
16 standard, and I would welcome any suggestions from
17 any of the professionals in the audience about how
18 we could do this better. Believe me, we have
19 thought about it, and we just can't figure out a
20 better way with the time frames that are required.

21 Our clinicians believe, and it is pretty
22 hard to argue with them based on our patient

1 population that fresh platelets are necessary. So,
2 this is what we have done to try to meet that need.

3 Now, switching back to one other subject I
4 want to share with you, AABB is constituting, as we
5 speak, a task force on bacterial contamination.
6 The members are going to be experts from blood
7 banks and hospitals working with both apheresis and
8 whole blood derived platelets.

9 This will be modeled very much after the
10 task force for West Nile virus that has been in
11 place for the last year or so, and we expect that
12 representatives from similar organizations will
13 also be participating in this task force as we go
14 forward.

15 We expect the task force to, first, review
16 data from the survey, and make recommendations, of
17 course, about any further guidance that needs to be
18 issued, recommend any further data collection, and
19 we realize that because this was done in a very
20 short time period, that the survey can be improved,
21 and we certainly want to hear from the task force
22 about other data, and perhaps this group can also

1 suggest if there are other data that should be
2 collected.

3 I haven't given you the whole survey, so
4 it is pretty hard for you to address that, but we
5 think that we can get the recommendations, provide
6 feedback about implementation and efficacy of
7 methods in identifying bacterially contaminated
8 units.

9 You have heard that there are a variety of
10 methods that are being used, and the standard
11 allows for that, and to continue monitoring
12 platelet availability as one of the primary
13 concerns of this meeting.

14 The task force should begin meeting in a
15 very short period of time. Steve Kleinman has
16 agreed to chair that task force, and the
17 invitations are going or have gone out, and we
18 expect that that group will start working very,
19 very promptly.

20 With regard to availability, in previous
21 presentations before BPAC and in communications
22 with FDA, AABB has advocated that FDA take key

1 steps to improve platelet availability. I have
2 additional data if anyone is interested about that,
3 but we believe now it is more than ever it is
4 critical that the FDA move forward by increasing
5 storage time for pooled platelets, by extending the
6 outdated platelets to 7 days, that the FDA should
7 think creatively and act expeditiously to meet
8 these needs for improved patient safety.

9 In conclusion, based on the data that you
10 have seen, we believe that the answer to these
11 safety measures is to continue to increase the
12 supply, to make certain that we collect from the
13 safest donors possible, but I think based on the
14 data that you have seen, and will be hearing today,
15 that AABB's bacterial contamination standard
16 improves patient care and has the potential to save
17 lives.

18 Thank you.

19 MR. SKINNER: Thank you.

20 Are there committee questions? Dr.

21 Penner.

22 DR. PENNER: Just a quick question. On

1 the dipstick for all of your combined samples, you
2 are testing only those that are positive, or are
3 you testing all of them?

4 DR. SAZAMA: We are testing all pools.

5 DR. PENNER: All pools. So, you are
6 culturing all pools.

7 DR. SAZAMA: We are culturing only a
8 sample of the pools. We previously validated the
9 method and now we are continuing to survey to see
10 if that method still continues to have the same
11 level of safety that we believe it has.

12 Right now we just couldn't implement a
13 single unit test and get our platelets out the
14 door, we just couldn't do it.

15 DR. PENNER: But do you know what the
16 false negatives are for your dipstick?

17 DR. SAZAMA: We have none so far.

18 DR. PENNER: But you haven't tested all of
19 them?

20 DR. SAZAMA: We haven't cultured all that
21 were currently in production, that's right, we are
22 only culturing a sample.

1 DR. PENNER: So, we are not sure whether
2 there is a false negative situation.

3 DR. SAZAMA: Correct.

4 DR. PENNER: It is that you are missing--

5 MR. SKINNER: The numbers are too small,
6 that's right, the numbers are too small for us to
7 be able to tell you that.

8 MR. SKINNER: Dr. Angelbeck.

9 DR. ANGELBECK: With your M.D. Anderson
10 hat on, Kathleen, pooling, pre-storage pooling for
11 platelets, since your institution relies so heavily
12 on the whole blood derived platelets to meet your
13 pretty extraordinary demands, do you think that is
14 essential to the continuing viability of the whole
15 blood derived platelets?

16 DR. SAZAMA: Not for us, I have to be
17 honest, not for M.D. Anderson. I mean we basically
18 pool them as soon as we can, but they are
19 transfused within two or three hours after that,
20 so, you know, for us personally, and that is why I
21 wanted to give you the unique kind of perspective
22 that I personally come from, but I am also strongly

1 in favor of the data that you have heard, because
2 our facility is so unique, it wouldn't make a
3 difference to us.

4 Maybe 1 or 2 percent of our pools might be
5 held for the next day, but that would be the only
6 benefit.

7 DR. ANGELBECK: Thank you.

8 MR. SKINNER: Dr. Lopes.

9 DR. LOPES: I need your AABB hat.

10 DR. SAZAMA: Okay.

11 DR. LOPES: In facilities that do have
12 some amount of outdated, is it common that the
13 oldest units must be used first, or do physicians
14 who prefer younger cells, can they jump in and get
15 the younger ones and leave the older ones to
16 perhaps get outdated?

17 DR. SAZAMA: Yes and yes.

18 MR. SKINNER: I had one question. The
19 overall survey data that you are doing, will you be
20 tracking outcomes in terms of adverse events, is
21 that data coming back to AABB, so that there is an
22 evaluation of whether--

1 DR. SAZAMA: Interesting. That is an
2 interesting point that--Mark, it is not on the
3 survey at the moment, but I wouldn't be surprised
4 if the task wouldn't be looking for that.

5 MS. LIPTON: What do you mean by an
6 adverse event?

7 MR. SKINNER: Well, I mean in terms of
8 just bacterial contamination, I mean we are doing
9 all of this because it's one of the leading causes
10 of transfusion-related problems, and are you going
11 to be tracking to see what the events were before
12 and after in terms of just aggregate data to see
13 that this actually is going to have a net effect
14 on--

15 MS. LIPTON: I think you could, but I
16 think we all have to recognize that this is so
17 underreported, and we participated in the BaCon
18 study, in fact, we were the organization that
19 helped the CDC get that study done, and it really
20 is a recognition issue on the other end in terms of
21 what they are monitoring the patients for.

22 So, we have found that that was--I think

1 it would be almost impossible to really understand.
2 I think this is something you should ask Mark, but
3 I think from our perspective, it would be very
4 difficult to understand what the difference was.

5 MR. SKINNER: Mark.

6 DR. BRECHER: I agree, Karen. I think the
7 only chance we have of getting some meaningful data
8 is to look at those institutions that have had a
9 high level of surveillance for many years, such as
10 Johns Hopkins or University Hospitals of Cleveland,
11 where the clinicians have been keyed in for years,
12 and track their rates and see if there is a
13 difference.

14 MR. SKINNER: Dr. Kuehnert.

15 DR. KUEHNERT: I just wanted to follow up
16 on that. I agree with those sentiments. I think if
17 we had a national surveillance for adverse events
18 related to transfusion in the United States, we
19 could do this, but since we don't, it would be
20 difficult to do retrospectively.

21 I wanted to ask a couple of questions.
22 Thanks for the presentation and for wearing both

1 hats. I wanted to ask you a question under the
2 M.D. Anderson hat. You had 3 positives I think
3 under the apheresis culturing, and you said 2 were
4 positive by Gram stain and 1 was Gram
5 stain-negative.

6 Does that mean that you don't know the
7 organism identity of that one that was Gram
8 stain-negative or just that it was Gram
9 stain-negative?

10 DR. SAZAMA: I should clarify that. Since
11 I put the slide together, the cultures have also
12 been done, and the culture is negative for that
13 one, as well, so it looks as though it is a false
14 positive signal.

15 The other two have been cultured, but I
16 don't have the speciation for them.

17 DR. KUEHNERT: So, you just know that it's
18 a Staph.

19 DR. SAZAMA: Right, I do.

20 DR. KUEHNERT: Do you know if that
21 person--you said you don't have all the follow-up,
22 but do you know at least did they have a

1 transfusion reaction?

2 DR. SAZAMA: None, didn't turn a hair.

3 The patient did just fine.

4 DR. KUEHNERT: And they were intubated, I
5 mean at the time they were--

6 DR. SAZAMA: As far as I know, they were
7 not intubated. Most of our platelets go to our
8 hematologic malignancies or our bone marrow
9 transplants, as you might expect, and my
10 understanding was that this was a hematologic
11 malignancy in chemotherapy, who was on--had already
12 been put on antibiotic coverage by the protocol the
13 patient was under, but had no change in symptoms
14 whatsoever, which shouldn't be surprising.

15 We know this. With the reported rates of
16 contamination that we have been transfusing for the
17 last 50 years, you know, we know that very few of
18 these patients have symptoms, and there must be
19 both an organism and a dose threshold, you know, an
20 organism type and a dose threshold that triggers
21 that, but I am happy to relate to you that the
22 clinicians were on top of it, and the patient did

1 just fine.

2 DR. PENNER: But they are almost all
3 heavily loaded with antibiotics.

4 DR. SAZAMA: They are, our patients are
5 absolutely.

6 DR. KUEHNERT: I think that is an
7 important consideration for gram-positives. For
8 gram-negatives, of course, with endotoxin, the
9 antibiotic issue is not going to be as relevant,
10 but certainly with the gram-positives it is.

11 Thanks.

12 MR. SKINNER: Dr. Sayers.

13 DR. SAYERS: Kathleen, keep your M.D.
14 Anderson garb on.

15 DR. SAZAMA: Okay.

16 DR. SAYERS: Do your physicians then
17 regard youthful platelets as more important than
18 individual tested whole blood derived platelets,
19 individually tested for the presence of bacteria?

20 DR. SAZAMA: Without speaking for them,
21 that is the impression that I have. We have
22 clearly talked about this issue at our transfusion

1 committees, and indicated how we intended to
2 approach the problem at least to begin with, and if
3 we can get better methods, we certainly will use
4 them, and the indication was that it was an
5 immutable requirement that the platelets be as
6 young as possible.

7 DR. SAYERS: So, how do you think then
8 that attitude would influence the news that
9 platelet storage to 7 days was permissible?

10 DR. SAZAMA: I don't think there would be
11 an objection to it. It just doesn't apply.

12 LTC SYLVESTER: On your culturing of your
13 donors, are you collecting it via diversion pouch,
14 or are you collecting it independently?

15 DR. SAZAMA: We are collecting at the time
16 of original phlebotomy. Since many of our
17 platelets are collected with a two-arm procedure,
18 we just collect it at the same time we get our CBC.

19 MR. SKINNER: Further questions?

20 [No response.]

21 MR. SKINNER: Thank you very much.

22 Our next presentation, we are very pleased

1 to have with us today, Dirk de Korte. He is the
2 head of Laboratory for Blood Transfusion Technology
3 at Sanquin Blood Supply Foundation Research in The
4 Netherlands, and he is going to speak with us about
5 the Dutch experience with reduction of bacterial
6 contamination. Welcome.

7 The Dutch Experience with Reduction of
8 Bacterial Contamination of Platelet Products

9 Dr. Dirk de Korte

10 Dr. de KORTE: First, I would like to
11 thank Dr. Holmberg for the invitation. It was no
12 so far for me to travel to here because at the
13 moment, I am doing a sabbatical at Bonfees [ph]
14 Blood Center in Denver, so it was very close.

15 The second remark I want to make is that
16 my opinions are my personal opinions, and not
17 expressed as an official Sanquin opinion.

18 I searched some facts about blood
19 transfusion in The Netherlands. In the
20 Netherlands, we have a central organization in four
21 regional blood centers covering the whole country,
22 in total, about 750,000 whole blood collections are

1 performed, in total, about 60,000 platelet
2 concentrates are prepared mainly buffy coat, I come
3 back to that.

4 In my talk, first, I want to have a short
5 introduction, can be really short because most of
6 the things are already covered by other speakers I
7 think. I will talk shortly about the difficult
8 principle very often mentioned here, then, I will
9 show the screening residuals for the last two
10 years, the first instance about with extended whole
11 blood collection, secondly, with the extended whole
12 blood collection including diversion, and, third,
13 the effects of the changed disinfection which was
14 introduced in the last quarter of 2002.

15 Then, I will talk about prolonged storage
16 time of platelets. I will mention some validation
17 aspects, and finally, I will try to share the
18 implementation lessons, some of the implementation
19 lessons we had, and some recommendations.

20 The background everyone in the audience
21 should know, that the platelet concentrates are
22 recognized as the main risk for transfusion of

1 bacteria, so the transfer of bacterial transfusion
2 to the patient.

3 In the Netherlands, of in Europe,
4 screening is relatively popular in scandinavian
5 countries, 60 to 100 percent are using bacterial
6 screening. In Belgium, it is already six year, 100
7 percent mandatory. In the Netherlands, it was
8 mandatory since November 2001 with some centers, in
9 fact, most of the centers started before, and some
10 centers even three or four years before.

11 In other European countries, usually,
12 there is 1 to 2 percent quality control
13 requirements, but some individual centers have much
14 higher rates, and lots of blood centers have 100
15 percent screening, but so far in no other European
16 countries there are obligations, it is still under
17 discussion.

18 The focus of this presentation is
19 therefore on the Netherlands. The Netherlands, we
20 started in I think 1991 with a committee, the
21 actual risk for bacterial contamination of blood
22 products, and that resulted in advice to the health

1 authorities to introduce bacterial screening for
2 thrombocyte concentrates and using for 7 days with
3 the Bac-T Alert, and that is from BioMerieux.
4 Sometimes I am struggling with the English
5 pronouncement, but I am glad to hear that here,
6 most of the people are struggling with the
7 pronouncement of this company, because I heard
8 things like BioMerieux, and so on. If you are
9 interested, I can try with you.

10 So, we decided to use negative to date as
11 a release criteria and indirectly, there is
12 enormous increase of quality control for related
13 products, because every platelet concentrates
14 represent 5 red cells and 5 plasma products.

15 At the same time, we advise the health
16 authorities to implement assist for haemovigilance.
17 The advice was accepted by our Ministry of Health,
18 and that was at that moment Ellsborth [ph], and she
19 was as former blood center director, so maybe it
20 helped.

21 We implemented in November 2001, the
22 screening for bacteria contamination, and it

1 already more times cited the perfect shouldn't be
2 the enemy of the good, so I think our ministry did
3 a good job with introducing the screening.

4 In the Netherlands, 93 percent of the
5 platelet concentrates are buffy coat derived.
6 Apheresis is mainly used only for donations for
7 refractory patients. One hundred percent screening
8 for bacterial contamination is implemented with
9 release as negative to date.

10 We are using the Bac-T Alert, and we are
11 using both aerobic and anaerobic bottles, both
12 inoculated with 5 to 10 ml, and the mean value I
13 checked with the blood centers is 7.5 ml.

14 The sampling for the buffy coat platelet
15 concentrates has to be performed within two hours
16 after preparation, but in our system, this means
17 that it is 18 to 24 hours after whole blood
18 collection, so it is assuredly an incubation time
19 of a time to grow for the bacteria.

20 Sampling for apheresis products has to be
21 performed within 12 hours after collection, so that
22 varies from immediately to 12 hours.

1 Preparation of platelet concentrates,
2 there are two methods based on whole blood derived
3 platelet concentrates, first, the so-called PRP or
4 platelet-rich plasma methods. It is mainly in
5 North America, a single platelet concentrate from
6 whole blood units, but as discussed many times in
7 the last 34 hours, there is a strong direction into
8 pre-storage pooling.

9 The buffy coat method is mainly in Europe,
10 1990 roughly, '91, '98, was single buffy coats and
11 pooling upon transfusion, and since 1995 until now,
12 it is the pools buffy coat methods. Recently, a
13 part of Canada also introduced the buffy coat
14 methods.

15 Then, of course, you have the platelet
16 apheresis methods to prepare platelet concentrates.

17 Very shortly, the platelet plasma methods,
18 you start with a unit of whole blood, give it the
19 soft spin, then, you end up with two products,
20 platelet-rich plasma and red blood cells. The
21 platelet-rich plasma is given additional hard spin,
22 giving you again two products, plasma and platelet

1 pellets bitten [?]. The platelet bitten is
2 resuspended in about 60 to 70 ml of plasma, and it
3 gives you a single platelet concentrate.

4 So, just before transfusion, 4 to 6,
5 sometimes 10, I heard, units are combined to give
6 you a platelet concentrate. Platelet concentrate
7 roughly contains 300 ml plasma and 300 to 400 times
8 centridine [?] platelets.

9 The whole blood unit is given a hard spin,
10 resulting in 3 different products - plasma, red
11 blood cells, and the interface buffy coat, which
12 contains more than 90 percent of the platelets and
13 also more than 70 percent of the white blood cells.

14 This buffy coat contains also some plasma,
15 it is given a soft spin, and that results in a
16 single platelet concentrate and a waste of buffy
17 coat.

18 Just before transfusion, 4 to 6 of these
19 units are combined and giving you platelet
20 concentrate containing about 300 ml plasma and 250
21 to 350 times 10

9.

22 Also, to recall why the single buffy coat

1 method and also the PRP methods was introduced was
2 mainly for the optimal use of the valuable gift of
3 the donor, so to make complete use of the unit,
4 making all products which are possible, and not to
5 throw away valuable platelets.

6 Later on, especially with the introduction
7 of all the infection tests, and so on, it gives you
8 an additional advantage that the cost of the
9 screening is shared by three products, and not only
10 by one or two products.

11 The pooled buffy coat method, the initial
12 step is exactly the same, the whole blood unit is
13 given a hard spin, but now 4 to 5 of the buffy
14 coats and 1 unit of plasma or possibly also
15 additive solution are combined to give you buffy
16 coat pool with a low hematocrit about 20 percent,
17 and that is buffy coat which is given a second soft
18 spin, and that results in two products, a platelet
19 concentrate, which can be stored for 5 to 7 days,
20 and a waste of rest buffy coat.

21 This product contains about 300 ml of
22 plasma or a mixture of additive solution and

1 plasma, and 300 to 400 times 10^9 platelets. So,
2 there are some differences in the PRP and the buffy
3 coat platelet concentrate, I will not mention them
4 all, but, first, the initial amount of white blood
5 cells in the final product is different, the PRP
6 has much higher amounts of white blood cells, 5 to
7 25 percent whole blood value, and the buffy coat
8 products have less than 0.5 percent of the whole
9 blood value, but in the area of leukodepletion,
10 this is not really a difference because usually,
11 leuko use platelets are used.

12 The initial platelet activation is also a
13 little bit different. The PRP methods probably due
14 to the hard spin and contact with the plastic of
15 the bag, the initial platelet activation is higher
16 than in the buffy coat products, but during
17 storage, the difference is no longer existing, and
18 in five days, the amount, the degree of activation
19 is similar for the products.

20 The platelet yield is a little bit higher
21 for the PRP method compared to a single buffy coat
22 platelet concentrate, but the pooling of the buffy

1 coats overcame this difference in yield, so now the
2 platelet yield is similar or even higher because
3 you can, with the leukodepletion, you can allow a
4 little bit higher whole blood leukocyte
5 contamination, and that will yield in even higher
6 platelet yields.

7 The plasma yield is with the buffy coat
8 method, a little bit higher compared to the PRP
9 methods, and, of course, if you use an additive
10 solution, then, you will go even to 375 ml of more
11 plasma yields.

12 The pooling is post-storage for the PRP
13 methods and for the single buffy coat platelet
14 concentrate, before the pool, it is pre-storage
15 effect, it is pooling during preparation, but that
16 is similar to pre-storage.

17 The in vitro characteristics during
18 storage are very acceptable for up to 7 or 8 days,
19 and among others, we published that in Vox Sang
20 1994. The pooling process has no effect on the
21 availability of the platelets, even positive
22 effects because the production is faster and easier

1 than with the single buffy coat procedure.

2 There is no delay due to bacterial testing
3 because of the negative to date principle and also
4 because of the sampling less than 2 hours after
5 preparation. An additional remark was made by some
6 of the centers that it has some effect on
7 availability of apheresis platelet concentrates
8 because these are often directed donations, which I
9 don't know the details of this difference.

10 When I come to the results of the
11 screening, first, I want to say something about the
12 diversion. One of the blood centers on an
13 experimental basis was allowed to introduce the
14 diversion pouch for the whole blood collections,
15 and different from the U.S., all centers in the
16 Netherlands are using diversion pouch for apheresis
17 collections.

18 The diversion of the first flow, as
19 already mentioned several times, also our study was
20 referenced several times. We did a study of the
21 effects upon the bacterial contamination of whole
22 blood units after diversion of the first 10 ml.

1 The studies of Breneau and the study of Wagner did
2 only show that the first amount of collected volume
3 contains more bacteria than the later volume, but
4 our study, we tested really if the remaining whole
5 blood units had a lower contamination or not.

6 As was already mentioned several times is
7 that the skin plug was taking through scars, and
8 so on, taking bacteria, which is very important as
9 a cause for the contamination, because if you look
10 carefully to the bacteria and whole blood units in
11 platelets, it is up to 90 percent of 85 percent
12 skin flora.

13 So, the residuals of the whole blood
14 diversion study, in total, we tested 7,000 units of
15 whole blood. We sampled the unit after diversion
16 of the first 10 ml, so it's a minimal amount, but
17 that was because we are only able to do that with a
18 Vacutainer, so then the maximal amount was 9.6 ml.

19 Then, we tested the whole unit, we sampled
20 the whole unit, and tested it for Bac-T Alert both
21 with anaerobic and aerobic bottles. The degree of
22 contamination was 0.21 percent, and the 95 percent

1 confidence interval was 0.12 to 0.35, and that is
2 important because we are hearing here a lot of
3 numbers, which is all about 2 or 3 or 4, and that
4 doesn't mean too much in statistical terms, because
5 the confidence interval of that number, the values
6 is very broad.

7 The base level of contamination in whole
8 blood units was tested before in another study, and
9 that was 0.36 percent, so there is a significant
10 decrease. It's in the margin, if you look to the
11 p-value, but as already mentioned by Steve Wagner,
12 we found much more significant degrees of one, that
13 was the encephalococcus coagulase-negative
14 population that had a much larger degree, less
15 relevant propioni and becter [?] and rods are more
16 or less the same level.

17 However, this was only in whole blood
18 cell. The open question remains if the diversion
19 during blood collection really has an effect on the
20 contamination of the platelet concentrates from a
21 pool of buffy coats, and there was a need for a
22 special collection configuration, and that was used

1 in the study from the blood center in the region
2 southeast of the Netherlands.

3 This is more or less similar to the
4 systems by Pall and Baxter and Terumo, and through
5 Fregini's [ph] system in which after the needle
6 sample bag was included, and a diverse volume
7 could be used for test purposes by just clicking on
8 the Vacutainers.

9 Another aspect in the screening is that we
10 changed the method of disinfection during the last
11 quarter of 2002, we implemented nationwide double
12 swab disinfection method with isopropyl alcohol,
13 because various papers indicated that double swab
14 method, especially with enough time in between to
15 dry, so 30 second spacing was more effective than
16 single application of disinfectants, and then it
17 didn't make too much difference between the
18 different disinfectants if you used chlorhexidine,
19 alcohol, or isopropyl alcohol, or iodine. The
20 difference was much less different than the
21 difference by double swab methods.

22 In the Netherlands, the arguments are

1 contra-iodide one. People didn't want to be dirty
2 from the iodide, and so on, so we introduced
3 isopropyl alcohol as a disinfectant.

4 Before the change, most of the centers
5 used the single swab methods, but some also used
6 the double swab. Most of the centers used the
7 single swab, the chlorhexidine, 0.5 percent
8 chlorhexidine and 70 percent alcohol.

9 In the Netherlands, we have two and a half
10 year experience with some centers up to six years
11 with 100 percent screening of platelet
12 concentrates, and I will show you, first, the
13 residuals before versus after change in the
14 disinfection. Then, I will show you the residuals
15 of the collections with diversion including the
16 effect of the disinfection change, then, the
17 residuals for apheresis units including the effect
18 of disinfection change, and then I will do some
19 overall comparisons.

20 So, the standard collection of whole blood
21 experience, January 2002 to October 2003, I left
22 out the first two months because to allow the

1 centers with starting up and so on, and also there
2 are some problems in reporting the results, so I
3 started with data from January 2002.

4 In total, with the old disinfection, more
5 than 42,000 units were tested. That reflects,
6 don't forget, more than 200,000 whole blood units,
7 and with the new standardized double swab
8 technique, more than 46,000 units were tested.

9 Overall, the initial positive rate was
10 0.96 for the old disinfection and 0.82 for the new
11 disinfection. Then, the confirmed positives, I
12 have to explain that what we call confirmed
13 positive is just that we have a positive culture
14 from the bottle from the Bac Alert, so that is not
15 confirmed positive that the product is resampled
16 and tested, which I will come back with also later,
17 which confirmed positive, you can see that most of
18 the samples were confirmed positive.

19 However, you see a remarkable difference
20 between the numbers in which no culture from the
21 positive bottle could be obtained. From the old
22 disinfection, it was 6.3 percent and with the new

1 disinfection, it rise to 9 percent of the
2 positives. You will see the change, the same
3 effect in other slides.

4 Here, I show the results for the
5 collection of whole blood with the diversion pouch.
6 In total, with the old disinfection, nearly 4.5
7 thousand units were tested in with the new
8 disinfection methods, similar amount.

9 Again, this represents more than 20,000
10 whole blood collections for both arms. Initially,
11 positive in the Bac Alert culture were 0.5 percent
12 of the units with old disinfection and 0.36 with
13 the new disinfection.

14 However, again, here, you see at the
15 moment that the number of positives, initial
16 positives is going lower, then, also, the number of
17 bottles, and this is not possible to derive a
18 subculture from the positive bottle is increasing.

19 Then, the data for the apheresis platelet
20 concentrates with the diversion pouch, as I said.
21 This represents, of course, a much lower number
22 than here in the States because we have only 7

1 percent of collections collected as apheresis, so
2 the total number tested was about 3,000 for the old
3 disinfection and about 3,700 for the new
4 disinfection methods.

5 The percentage initial positive was 0.22
6 for the old, and 0.32 for the new one, again you
7 see here a slight difference between the number of
8 no subculture from the positive bottle from 14 to
9 70 percent, but here you are talking really about
10 very low numbers.

11 So, the comparisons, the effect of the
12 diversion was found to be highly significant for
13 platelet concentrate with the old disinfection, it
14 reduces from 0.96, to 0.50, the p-value of 0.004,
15 and with the new disinfection method, it's
16 decreased from 0.82 to 0.36, with a p-value of
17 0.001.

18 The double-swab disinfection showed a
19 changed disinfection methods, was found to be also
20 significant for the standard collections. The
21 reduction from 0.96 to 0.82, p-value of 0.03, which
22 there was no significant effect for already

1 diverged collections, or with apheresis. But
2 that's also lower numbers, so the statistics is
3 less reliable.

4 As a last comparison, I checked if the
5 apheresis versus pools with the cultured PC was
6 still different. It was large--highly significant,
7 the value. Before the introduction of diversion
8 and double-swab, but after the introduction of
9 diversion and of whole blood collection and
10 double-swab method for disinfection, the final
11 contamination in the apheresis versus the pooled
12 [inaudible] platelet concentrate was not
13 longer--different, with a value of .32 versus .036.

14 With respect to cell cultures from post
15 [inaudible] bottles, the differentiation was
16 significantly different after diversion--as
17 described for whole blood diversion study. You
18 have relatively less coagulase negative
19 staphylococci, and you have more propioni and
20 chorinobacter bacteria.

21 There was an increase of the percentage
22 with failure to grow in sub-culture after diversion

1 and changed disinfection. And the percentage of
2 dangerous bugs, the rapid growers, decreased more
3 than the overall percentage, but not significantly,
4 because then you are talking about 9 in 500 going
5 to zero in 20. So if you really would compare
6 that, you need at least 200 positive ones.

7 Then--in the Netherlands we have, every
8 time, again a discussion about negative to date,
9 versus current time. In practice, that appears to
10 have similar results, if we have current time
11 periods for two days after starting the culture, we
12 would have prevented 90 percent of platelet
13 concentrates with the fast-growing bacteria to be
14 released. And if we look to the real data from our
15 scaling system, then for more than 90 percent of
16 signal it's fast growing bacteria, the product was
17 still in the blood center upon the positive signal.
18 So it could be prevented from entering the
19 transfusion cycle.

20 The products with a positive signal after
21 release are mainly slow growing, like propioni
22 species and--rods.

1 A short remark about the related products.
2 Related products, we found for the red cell
3 concentrates, that about 709 percent still was in
4 the blood center stock, and that the 30 percent
5 which has to be recalled was, in 75 percent of the
6 cases, successful. So, overall, you were able to
7 prevent 92 percent of the related red cells from
8 being transfused. And all the 92 percent were also
9 cultured, and then we found that in the red cells
10 we had in 45 percent of the cases, we had positive
11 culture; for platelet concentrate we had also a
12 positive culture and the related red cell product,
13 and it was always, then, the same microorganism.

14 However, something was remarkable. If you
15 look to the differentiation in the red cell
16 concentrates, then you see that in 143 cases of
17 coagulase-negative staphylococci--in the platelet
18 concentrate, we only found this to be positive in
19 the red cell concentrate, and 20 cases in 123 cases
20 was negative, more or less a similar ratio for the
21 bacillus species. But for the propioni species, we
22 found the opposite; that is, that from 134 cases

1 with propioni in the platelet concentrates, we were
2 able to find 110 positives in the red cell
3 concentrate, and 24 times negative in the red cell
4 concentrate.

5 So, theoretically, you would expect that
6 20 percent of the red cell concentrates would be
7 contaminated, because you had five red cell
8 concentrates for Buffy-coat platelet concentrate.
9 In practice, it was less than 10 percent, and we
10 found that mainly the slow growers survived in the
11 red cells; the coagulase-negative staphylococci had
12 much lower probability to survive and to result in
13 a positive culture.

14 Based on the results of the screening and
15 ongoing insight in platelet qualities, there are
16 some changes starting in June this year. All
17 collections should be performed with the system,
18 including diversion pouch. So, as I said, all
19 apheresis products are already including the
20 diversion pouch, and for the whole blood collection
21 we have to change 100 percent diversion pouch.

22 And since January 2004, there's official

1 authorization for a shelf life of seven days for
2 Buffy-coat platelet concentrate in plasma.

3 That brings me to the subject of prolonged
4 storage of platelet concentrates. For prolonged
5 storage, the main concern is bacterial
6 contamination, and this is minimized by the
7 screening, and so far is validated with respect to
8 in vitro quality of platelets, prolonged storage in
9 combination with culture was allowed in the
10 Netherlands, also in Sweden in Norway, but it
11 should be supported by in vivo data and, as also
12 mentioned by the speaker before me, not all
13 physicians believe that seven days-old platelets
14 are as effective as fresh platelets. So you need
15 to prove the efficacy.

16 In vitro quality is really no problem.
17 There are multiple studies showing it in the
18 various conditions. Day seven to eight is
19 maximally--20 percent worse, compared to day five,
20 providing the use of the right containers and
21 off-loading the containers.

22 Seven days is also possible with the use

1 of additive solutions and variable amounts of
2 plasma cross-over--and it's described for 10 to 40
3 percent in vitro. So this is only improved to
4 compared to 1986, due to the availability of better
5 containers.

6 And just as a remark, also, for
7 pre-storage pools, PRP, it's shown already long
8 before that there's very acceptable in vitro
9 quality after seven days in our publication, in
10 [inaudible] 1995.

11 However, we had to prove in a clinical
12 study that the platelet concentrates, after longer
13 storage were--had a good efficacy, so then the
14 blood bank--blood center northwest, and the free
15 university, academic hospital, performed a clinical
16 study in which they determined the corrected count
17 increments, and the count increments one hour after
18 the infusion. This was in a group with
19 hemato-oncological patients, having no serious
20 bleedings. The platelet concentrates were in
21 plasma from five pooled Buffy-coat; storage was for
22 two to seven days. And this study was recently

1 published in Transfusion this year.

2 Based on this publication, seven days is
3 now authorized in the Netherlands, with both
4 transfusion services,

5 Just, very short, this study, on the
6 x-axis is shown the days of storage, and on the
7 y-axis is shown the count increment--or the
8 corrected count increment. The black numbers in
9 the margin indicating the number of transfusions
10 given. And you can see here that there is very low
11 differences between the values for day five to
12 seven. So there is really a decrease during the
13 first days, but at the end there is not too
14 much--at least no significant difference between
15 five or seven days.

16 So both in vitro and in vivo data support
17 that platelet concentrates, for the Netherlands,
18 specifically, Buffy-coat derived, in plasma, can be
19 stored for seven days and still have a good
20 quality, and can be used for patient care to
21 overcome logistical problems. And it has now an
22 official authorization in the Netherlands.

1 The extension of shelf life from five to
2 seven days, the outdating will greatly reduce. The
3 first experience so far has at least a 10 percent
4 reduction. One of the centers in the Netherlands
5 had experience for about three years with the
6 seven-day storage, and then also there was found 15
7 percent reduction in outdating.

8 So there is a big financial benefit from
9 extension of shelf life and that itself pays
10 already for the screening, and you don't look to
11 reduced patient care and so on.

12 Some validation aspects about seriotivity
13 or false positives, and about sensitivity or false
14 negatives.

15 First, about the false positives. In the
16 Netherlands we are using an integrated sampling
17 pouch, or sterilely connected sampling pouch, which
18 has already a needle or an adaptor to fit to the
19 culture bottle. And we are using it in a laminar
20 flow.

21 So there are different types of false
22 positives. There is very often spoken about false

1 positives here, but there are different types. So
2 you have first an accidental contamination by
3 processing. That is called a false positive but,
4 in fact, the system recognized correctly a bug in
5 the bottle. We know that from using aseptic
6 procedures that results in a very low number of
7 accidentally contaminated bottles.

8 We checked in our facilities that zero out
9 of 2,000 procedures were positive. So that means
10 lower than 0.05, and probably its closer to 1 in
11 10,000, than 1 in 2,000.

12 Then you have a negative confirmation
13 culture. That is, we found that in 36 our of 474
14 positively flagged bottles, that mean that the bug
15 is not growing under standard culture conditions,
16 or it's a system failure. And my personal belief
17 is that it's mainly not growing under standard
18 culture conditions because system failure is fairly
19 rare. And we use for our confirmation cultures, we
20 use sheep agar plates, and probably some of the
21 bugs are not growing there. And it's supported
22 by--this opinion is supported by the fact that you

1 see, under different conditions, different amounts
2 of positive--of negative confirmation cultures.

3 Then you have a temporary positives. Upon
4 re-culture of platelet concentrates which are
5 flagged positive, only 20 to 50 percent, depending
6 on the center a little bit, only 20 to 50 percent
7 is again positive in a BactiAlert positive.
8 However, it is a limited number which is
9 studied--about 100 to 150 platelet concentrates,
10 you are able to have back in the center and to do
11 re-culture after it was flagged positive.

12 So that means that you have, indeed, a
13 kind of self-sterilization that reduce the number
14 of bugs in the platelet concentrate, in contrast to
15 the culture.

16 Then you have false negatives. That means,
17 first, the bug is not recognized by the culture
18 system. However, if you look in literature--for
19 example the Study of Mark Brecher--it's indicating
20 that all the bugs thought to be relevant are picked
21 up. So it's very low chance that the system--the
22 bug is not recognized by the culture system.

1 Then, the system is not sensitive enough.

2 Well, also, extensive studies showed that the
3 sensitivity is 1 to 10 colony forming units per
4 bottle. And if you inoculate 7.5 ml, that means
5 that 0.2 to 1 colony forming units per ml of
6 platelet concentrates will give you already a
7 positive signal.

8 That we are on the lower limits of
9 sensitivity is indicated by the fact that only for
10 four percent of the positive bottles were positive,
11 so that means that you are really on the lower
12 limit of sensitivity.

13 The next argument is: too early sampling.
14 From quality control data in the outdated products,
15 we know that the frequency of contamination is much
16 lower, indicating that you have more false
17 positives rather than false negatives.

18 In conclusion, with the validation
19 aspects, sensitivity is relatively low, but it is
20 not in the classical meaning that you have a false
21 positive, but there are other reasons to have false
22 positives, because the bugs are not always

1 surviving in the actual products; only a fraction
2 of the positives would have caused clinical
3 problems; and the products changed during testing,
4 so it is not simply to repeat, like for a virus
5 test, and you just repeat the sample within
6 this--with the bacteria. It's not possible to do
7 this so easy.

8 Sensitivity is very high with this chosen
9 approach, especially with the two bottles, but can
10 we afford to go lower, because we don't know if we
11 go back to, for example, one bottle, how much would
12 we miss? We know that about two-thirds of the
13 positives is coming from the anaerobic bottle, so
14 from that most is--a large is propioni, this is
15 relatively not harmful bacteria, usually, it is
16 believed, but we found also that you pick up a lot
17 of the fast-growing bacteria earlier in the
18 anaerobic bottles than in the aerobic bottle.

19 What we learned from the implementation a
20 lot, I would think. But things I will mention is
21 that motivation of all involved people; having good
22 relations with the clinic, because that makes it

1 acceptable for the clinic to have a results
2 negative to date which is not common for them.
3 Normally, you have positive or negative; acceptance
4 in the clinic, also of positive signal in the
5 culture which already transfused; and also it made
6 it also acceptable that we give the message,
7 related to red cell concentrate, might be positive,
8 but it will have a low possibility because of the
9 fact that you have five red cells per concentrate.

10 It is also important to train the involved
11 personnel in microbiology to know what is causing
12 accidental contamination. And what we also learned
13 was standardization, standardization,
14 standardization. That was also to be very
15 important.

16 Some recommendations: for all platelet
17 concentrate, I will say use an as sensitive
18 detection method as possible, and use a negative to
19 date release.

20 For whole blood-derived platelets, you
21 will be not surprised: changed to Buffy-coat
22 platelet concentrates. And I will be glad to have

1 another sabbatical here to introduce Buffy-coat in
2 the U.S.--or at introduce pre-storage pooling of
3 PRP platelet concentrates.

4 In case of the transfused product, with
5 clinical symptoms, use the fact that the blood
6 center is ahead. I heard yesterday already, the
7 blood center in Florida was doing that. So help
8 with the determination of possible resistance to
9 allow a better treatment of the patients.

10 And also introduce a system of
11 hemovigilance to monitor the effects, because that
12 is one of the main problems in the Netherlands, the
13 system of hemovigilance is just this year starting
14 to be introduce, and so it is very hard to get real
15 hard data from the clinic about the prevalence of
16 bacterial contamination. We only know that before
17 introduction of the screening, we had several
18 incidents reported, but there is no duty to report,
19 and there is underestimation of that; and that
20 after introduction of the screening we had no
21 reports of sepsis or fatalities.

22 Final conclusion is that, based on the

1 experience so far, implementation of a system for
2 bacterial screening is found to be very successful;
3 easy monitoring of possible improvements like the
4 diversion pouch and the changing disinfection;
5 allowing shelf-life prolongation; reduction of
6 clinical cases--also not supported by hard
7 data--and we found that there was a quick adaption
8 in the clinic.

9 But a combination of diversion and
10 improved disinfection, we found that the risk for
11 bacterial contamination for Buffy-coat preparation
12 became similar to apheresis platelet concentrates.

13 So this is an important argument in favor
14 for whole blood-derived platelets.

15 Thank you.

16 CHAIRMAN SKINNER: Are there questions?

17 Dr. Penner.

18 DR. PENNER: I enjoyed your presentation.
19 It was very thorough, and I believe you're a step
20 ahead.

21 I do have a question, though, about the
22 five to seven-day change in platelet storage, and

1 that is: from what I can see, the functional
2 activity of the platelets is a judgmental, or a
3 subjective view, and you don't really have any
4 solid data to say whether these platelets are just
5 floating around and dead, or whether they're
6 actually doing something.

7 MR. de KORTE: That is true. It's
8 usually--it was a requirement to show that the
9 corrected count increments was okay, and it is very
10 hard to have a study with actually stopping
11 bleeding and so on. So it was therefore also the
12 post-transfusion surveillance is obliged to collect
13 data about that.

14 DR. PENNER: Do you have any in vitro data
15 as to the quality--functional quality of the
16 platelet in various testing devices?

17 MR. de KORTE: Yes. But what you see is
18 that you have a decrease, mainly during the first
19 two to four days, and then five and seven is not
20 too much different. But you if you--you can look
21 to mitochondrial activity, you can look to
22 adhesion, you can look at aggregation and so on.

1 But most of that, you--it's unknown the real
2 relation with in vivo is unknown. So it's also
3 giving you limited information.

4 DR. PENNER: So you're saying in vitro--the
5 in vitro data, there's not--there didn't seem to be
6 a change in the five to seven day--

7 MR. de KORTE: Yes.

8 DR. PENNER: --or even four day--

9 MR. de KORTE: Yes.

10 DR. PENNER: --or that you can't see any
11 qualitative difference in the platelet function.

12 MR. de KORTE: No--not too much.

13 CHAIRMAN SKINNER: Yes, Dr. Kuehnert.

14 DR. KUEHNERT: Thank you for coming to
15 present this experience.

16 I just had a couple of questions, just
17 concerning the methods concerning bacterial
18 culture. You hold for seven days, and I just
19 wondered if there was any comparisons that you made
20 before you made that decision about holding the
21 culture for seven days, versus five days; also the
22 use of aerobic and anaerobic culture bottles; and

1 also the seven--and-a-half mls, versus, say, a
2 smaller amount.

3 I just wondered if you had any experience
4 with any other combinations.

5 MR. de KORTE: Well, with respect to the
6 volume, we decided to use the volume which we
7 started our studies. And packets for instruction
8 in the States, it's mentioned 4 ml. But I think
9 there is hardly no difference between 4 and 7.5 ml.
10 The main difference is between the fact that you
11 have two bottles, and so that you have twice the
12 possibility to hit the bug.

13 And therefore we decided to use the
14 anaerobic and the aerobic bottle, also to hit real
15 anaerobic bacteria. But most of the bacteria, you
16 can see it also from the studies from Mark Brecher,
17 that most of the bacteria grow very well in both
18 the anaerobic and the aerobic. So you will really
19 double the possibility to pick up a bug.

20 And we decided, from the starting of the
21 screening, to culture for seven days because we
22 planned to increase the storage time for platelets.

1 So you have to keep in mind that the culture is
2 always ahead of the situation in the bag. So, with
3 seven days, you are really very safe.

4 DR. KUEHNERT: My other question was
5 about--you had some data on the effect of diversion
6 bags. And it looked like, for some apheresis
7 collections, you didn't have a diversion bag, and
8 it looked like the contamination rates were much
9 higher for that situation.

10 Did I misread that, or--MR. de KORTE: Yes.
11 We--all apheresis units were collected with the
12 diversion pouch.

13 DR. KUEHNERT: Okay.

14 MR. de KORTE: But only--what you see is
15 that after the introduction of the new
16 disinfection, the actual contamination increased a
17 little bit for apheresis units, but that is
18 probably due to the lower numbers, because you are
19 really talking about 22 or 16 on a total of 3,000;
20 and before you have-- then have significance, and
21 you have the seasonal variation and all that kind
22 of things. That's very hard to discriminate.

1 DR. KUEHNERT: And my final question is:
2 how do you handle donor notification? And given
3 that you've now implemented hemovigilance over all,
4 are you using the data for national surveillance
5 for public health as well?

6 MR. de KORTE: with respect to donor
7 notification, we decided only to notify a donor in
8 case of very specific bacteria which were known to
9 have a chronic bacteremia. So, like--I look it up,
10 because I expected this question--it was
11 staphylococcus aureus, and Yersinia and listeria.
12 So that is specifically mentioned in the guideline
13 that, in that case, you have to notify the donor
14 and to check. But otherwise, it's up to the
15 medical director of the blood center if, maybe for
16 some other bug also the donor will be notified.
17 But not normally.

18 DR. KUEHNERT: Thanks.

19 CHAIRMAN SKINNER: Dr. Holmberg has couple
20 final questions, and then the committee will break
21 for lunch early.

22 DR. HOLMBERG: I guess I just need a

1 refresher on your Buffy-coat preparation.

2 Are these units leukoreduced to start
3 with?

4 MR. de KORTE: Yes.

5 DR. HOLMBERG: Okay. And then, when you do
6 the hard spin, it appeared that you put either
7 saline or additive solution. Is it routine to put
8 the additive solution? And what are you using?

9 MR. de KORTE: Yes, it's--30 percent of the
10 production is additive solution, and 70 percent is
11 plasma. And especially because only the plasma is
12 authorized for seven days, there is no a trend to
13 go to plasma for all units back.

14 DR. HOLMBERG: Can you tell us what that
15 additive solution is?

16 MR. de KORTE: The additive solution, as
17 far as I know, is Pass-2 from Baxter.

18 CHAIRMAN SKINNER: Well, we're checking on
19 one thing on the presentation from the CDC. My
20 concern was it's a new subject matter that we'll be
21 shifting to, and we're a little bit behind, and it
22 will probably take morethan the 20 minutes that's

1 allotted, because it's not yet loaded.

2 Just to give you a brief indication--my
3 plan would be, this afternoon, when we do get to
4 committee discussion, will be to work through the
5 questions that Dr. Holmberg proposed yesterday, and
6 then to see if there's a resolution that's coming
7 out of those--just to give people a sense of how I
8 plan to structure the committee discussion.

9 And I am aware that there are potentially
10 three resolutions coming out of the CMS
11 presentation yesterday morning. So there is quite
12 a bit of work ahead of the committee this
13 afternoon.

14 [Comment off mike.]

15 Okay. So we do need to take the CDC
16 presentation now because of flight schedules. So
17 we will actually probably be a little bit late
18 going to lunch. I apologize for that.

19 Presenting for the CDC is going to be Dr.
20 Arjun Srinivasan. Did I get that correct? Thank
21 you. He's going to present on some of the public
22 health relevance, on surveillance and other aspects

1 related to bacterial contamination

2 Public Health Relevance of Platelet Screening

3 DR. SRINIVASAN: Thank you very much. I
4 appreciate you're letting me go ahead and present
5 now. I'm full cognizant I am the only thing
6 standing between you and lunch, so I will make my
7 remarks very targeted.

8 I'd like to thank you for the opportunity
9 to come and talk a little bit about some of the
10 public health relevance for the new standard of
11 platelet bacterial screening. I'd like to talk a
12 little bit about some of the public health
13 perspective on the need for bacterial screening,
14 and then to focus my talk on the public
15 considerations.

16 And there are four key areas that I'd like
17 to focus on: issues with organism identification;
18 shared data collection and analysis; the use of
19 these results; the impact of screening on platelet
20 supply, and then close by talking about talking
21 about some of the potential next steps from a
22 public health point of view.

1 Now I think that the very reason that we
2 are here is a testament to the dedication that
3 people in the blood banking community have had for
4 so long in providing a safe blood supply. We've
5 worked hard on the viral pathogens, and we've
6 reduced the incidence of transfusion-related viral
7 pathogens to the point that bacterial pathogens,
8 and transmission of bacterial diseases has no
9 become a very real concern for us.

10 So, in many ways, the very fact that we're
11 here is a testament to our success.

12 Now, though we've long thought that
13 bacterial contamination is a significant issue,
14 there had never been a serious, rigorous,
15 prospective multi-center evaluation of associated
16 adverse events, prior to the BaCon study. And I'd
17 like to talk a little bit about this study, because
18 I think it provides a little bit of background in
19 some of the foundations of the new standard.

20 Now, the goal of the BaCon study--and many
21 people in this room, of course, were involved in
22 that study--was to prospectively evaluate the

1 incidence of septic transfusion reactions cause by
2 contaminated blood products. And I emphasize
3 "septic transfusion reactions," because I think
4 it's important to really understand the scope of
5 the BaCon study.

6 If you consider the universe of
7 contaminated products as an iceberg, the BaCon
8 study was really targeted at the very tip of that
9 iceberg: at fatal reactions and septic reactions.
10 I think we'd all agree that there a number of
11 febrile and other reactions that can occur from
12 contaminated blood products, but the BaCon study
13 was not designed to pick those up. It addressed
14 the fatal and septic reactions.

15 [Slide.]

16 The design was really kind of a model of
17 its kind. It was a huge collaborative effort
18 involving a number of groups that worked extremely
19 well together: the American Association of Blood
20 Banks, the American Red Cross, the Department of
21 Defense, CDC, and a number of hospitals and
22 transfusion centers, who all had to work together

1 to make the study happen.

2 [Slide.]

3 Because this was a study of clinical
4 sepsis, the entry criteria for patients to be
5 enrolled were signs and symptoms of sepsis within
6 four hours of a blood product transfusion: fever;
7 rigors or shaking chills; changes in the heart
8 rate--tachycardia; or rise or drop of systolic
9 blood pressure--standard criteria for septic
10 reactions.

11 [Slide.]

12 Now, in addition to meeting these clinical
13 screening criteria, in order to be enrolled in the
14 study, a number of specific microbiologic criteria
15 had to also be met. First of all, there had to be
16 a culture-positive blood product involved. The
17 recipient blood culture had to grow the same
18 organism that was recovered from the product. And,
19 finally, the additional step was taken that the
20 organism pair from the product and the recipient
21 had to be identical by pulsed-field gel
22 electrophoresis by molecular analysis.

1 So, I think, very strict entry criteria
2 here; very tight criteria.

3 [Slide.]

4 They ended up with 34 septic reactions
5 during the two-year study period. The
6 products--there was no surprise to what they saw
7 there--29 of the 34 reactions were in platelets; 19
8 in single-donor platelets; 10 in pooled platelets;
9 only five in red blood cells.

10 The recipients were, I think, a reflection
11 of the population who get transfusions.
12 Three-fourths of them were patients who had
13 underlying malignancies. And in this series, one
14 in three actually had a fatal outcome.

15 Now, I think some of the most important
16 findings from the BaCon study with respect to the
17 public health implications and the foundations for
18 screening come from the microbiology.

19 [Slide.]

20 We know, from previous studies, that
21 Gram-positive organisms--if you're simply
22 screening--account for the vast majority of

1 contaminated blood products. However, if you look
2 at the findings from the BaCon study--if you take
3 out a subset of septic and fatal reactions,
4 Gram-negative bacteria actually account for a very
5 healthy minority of those: 41 percent--almost half
6 of the reactions in the BaCon study--were due to
7 Gram-negative organisms. So--an interesting an
8 important finding.

9 [Slide.]

10 Furthermore, keeping on this theme, if you
11 look at outcomes in patients with Gram-negative
12 infections, mortality was significantly higher. 83
13 percent of the fatalities were associated with the
14 Gram-negative organisms, compared to 17 percent for
15 Gram-positive--highly statistically significant.

16 Furthermore, when endotoxin testing was
17 done, fairly high levels of endotoxin were found in
18 many of the units that were contaminated with
19 Gram-negative organisms.

20 If you take the results from the BaCon
21 study and you extrapolate to septic reaction rates
22 and fatality rates in the United States, what you

1 get is an estimate of about 10 septic reactions per
2 million platelet units transfused for single-donor
3 platelets and pooled platelets; and about two
4 fatalities per million transfusions of those units.

5 Now, it's important to note that many
6 people have pointed out: these numbers are likely a
7 substantial underestimate. Because the entry
8 criteria for the BaCon study were very, very
9 strict, may people argue that these numbers, though
10 important, likely underestimate the true scope of
11 the problem.

12 So, it was an important study, but, of
13 course there were some limitations to the study.
14 What BaCon did do, I think, is prospectively
15 describe for us reaction rates and etiologic
16 pathogens for documented septic reactions. What
17 BaCon did not do is give us any information on
18 other non-septic reactions due to contaminated
19 products, nor did it estimate the incidence of
20 bacterial contamination of products.

21 [Slide.]

22 However, I think BaCon does have some very

1 important implications for screening, particularly
2 the microbiologic findings.

3 As we know, the Gram-negative contaminants
4 are more likely to be related to donor bacteremia,
5 and less likely to be related to skin
6 contamination. Therefore, the screening certainly
7 lend credence and support to the issue of the
8 screening standard.

9 Furthermore, I think the findings of the
10 endotoxin contamination also support the screening
11 standard, because endotoxin will greatly complicate
12 therapy--even rapid initiation of antibiotic
13 therapy will not be effective if there's a very
14 high level of endotoxin in the transfused unit.

15 So, as Dr. Holmberg has mentioned, the
16 question before us is not really whether to screen
17 platelets, but how to accomplish this goal.

18 The data indicate that screening will save
19 lives, however we also know--and we're hearing here
20 over these couple of days--that implementation of
21 the screening standard is going to raise some
22 important challenges; and that's also true for the

1 public health.

2 I'd like to focus on four issues for
3 public health considerations of platelet
4 screening--as I've mentioned: identification of
5 organisms; shared data collection; the use of
6 results for quality assurance and improvement; and
7 the issues with platelet supply.

8 First of all, organism identification.

9 [Slide.]

10 Certainly, identification is going to
11 require a significant investment in both resources
12 and time on behalf of the blood centers. There
13 will need to be purchase of microbiology equipment,
14 staff training and staff certification. So these
15 are centers that already faced with tight budgets,
16 with enormous demands on their resources. Why do I
17 think it's important that we allocate the
18 additional resources to identify organisms?

19 Well, I think that organism identification
20 can have some very important benefits for the
21 health of recipients, donors and the overall
22 community.

1 First of all, with respect to the
2 recipient, in cases where the units get transfused,
3 knowing the organism certainly can help the
4 treating clinician chose the most appropriate
5 therapy. Now, certainly, in many of these cases
6 the recipient will develop a positive blood
7 culture. However, knowing what that culture is
8 likely to show, in advance, gives the clinician a
9 very important head start.

10 Furthermore, we're talking about patients
11 in cases where they may be on some kinds of
12 antibiotic therapy that may inhibit the cultures,
13 or at least delay those culture results.

14 [Slide.]

15 with respect to donor health, certainly
16 the blood banking community has already set the
17 standard for donor notifications. It's long been
18 felt that results that had important implications
19 for donor health--such as the viral pathogens--need
20 to be shared with donors. And I think bacterial
21 screening is certainly no exception to this rule.

22 Now, in most cases, donors with bacterial

1 bloodstream infections will probably be excluded
2 from donation because they'll have symptoms.
3 However, as we implement the standard, I think
4 we're going to find that there are important cases
5 when asymptomatic bacteria may have consequences
6 for the donor.

7 I'd like to present to you a very short
8 case as an illustration of that, and this was
9 shared with me by Dr. Stevens and Dr. Leitman at
10 the National Institutes of Health. The had a
11 patient last year who had received platelets and
12 subsequently development a bloodstream infection
13 with streptococcus agalactae--or Group B
14 streptococcus. And, indeed, the unit that they
15 received was found to be contaminated.

16 Now, in discussions with their infectious
17 disease colleagues, they learned that bacteremia
18 with this organism has been associated with cases
19 of colon cancer. They called back the donor; they
20 notified him and encouraged him to undergo
21 screening for colon cancer and, in fact, he
22 underwent a sigmoidoscopy that revealed a tumor

1 that was removed.

2 So that's one example of how the results
3 of screening may have implications--and important
4 ones--for donor health.

5 [Slide.]

6 Community health. I think findings of
7 unexpected clusters of organisms--if we know what
8 organisms we're dealing with--may lead to some very
9 important discoveries. And there's a could of
10 examples that I'd like to share with you here.

11 The first is an experience from Denmark.
12 This was an unusual cluster of two cases of
13 *Serratia marcescens* bloodstream infections related
14 to transfusions. Now, because the organism was so
15 unusual, it prompted an investigation--especially
16 because the cases were clustered in time. They did
17 a national survey, and found that .73 percent of
18 all the units that they screened were contaminated
19 with *Serratia*--a phenomenally high contamination
20 rate for this organism--which, of course, prompted
21 a further investigation.

22 Well, the investigators found that all of

1 the contaminated units had been collected in bags
2 that were produced from a single batch made by one
3 company. When they went to the manufacturing plant
4 to do an on-site investigation they did cultures,
5 and found that places in the fact were, in fact,
6 contaminated with Serratia that matched the
7 isolates that had been found in the bags.

8 Because of this intervention, and because
9 of this investigation, they were able to correct
10 the problem and stop any more contaminated bags
11 from being produced and released--a very important
12 public health intervention.

13 The second case is a little more recent,
14 hits a little closer to home, and is a little bit
15 stranger.

16 This was the case of a healthy donor who
17 was a very regular blood donor in his community;
18 had given nearly monthly over the last few years,
19 many apheresis sessions. And platelets obtain
20 during one apheresis session were transfused into
21 two patients in this case. Patient One developed
22 septic shock during the transfusion, requiring

1 initiation of life support; and Patient Two
2 developed septic shock an hour after the
3 transfusion and, unfortunately, later died.

4 Blood cultures from both of these patients
5 grew *Salmonella enterica*--again, a very unusual
6 pathogen. Because of the organism that was
7 identified, an investigation was initiated. The
8 donor was called and asked to come in for blood
9 cultures and, lo and behold, cultures of this
10 asymptomatic donor in fact grew *Salmonella*
11 *enterica*.

12 Now, on further questioning, it was found
13 that the donor actually had a pet snake that was
14 colonized with *Salmonella enterica*, and it was
15 thought that in handling his pet snake he became
16 repeatedly exposed to *Salmonella*, and was
17 asymptotically bacteremic. And so the *Salmonella*
18 was able to get into the blood supply.

19 Now, given how often this person donated,
20 the investigation that was prompted by knowing the
21 organism probably prevented transmission to many
22 other patients, in addition to having some

1 important implications for this particular donor.

2 Now, I think these types of outbreaks are
3 extremely rare--at least, I think that very people
4 own pet snakes contaminated with Salmonella. But I
5 think the cases illustrate how important the
6 consequences can be. And bacterial screening, if
7 we identify the organism, provides us a very
8 powerful method to find and stop such events.

9 [Slide.]

10 Shared data collection and analysis. I
11 think we've already learned that bacterial
12 screening is going to generate an enormous amount
13 of data--especially if we take the step of
14 identifying organisms. Again, keeping track of all
15 of this information will require an investment in
16 resources. So why do I think that we should make
17 that investment?

18 Well, I think that knowing how often units
19 are contaminated, and what they're contaminated
20 with, and keeping track of that information can
21 help us with quality assurance, and can help us
22 with surveillance for unusual outbreaks.

1 First of all, using microbiology for
2 quality assurance.

3 [Slide.]

4 Data collection that's done over time with
5 help us establish baseline, or expected rates of
6 contamination. And if we know what the expected or
7 baseline rates are, changes in contamination rates
8 can help prompt investigation into collection and
9 processing practices, to make sure that there
10 aren't breaches that explain the increase in the
11 rate.

12 [Slide.]

13 Furthermore, if we actually know the
14 identity of the organism, we can even better refine
15 those types of investigations of increased rates.
16 For example, increases in skin flora might prompt a
17 review of collection practices, while increases in
18 some of the Gram-negative organisms might prompt
19 investigations into processing and storage
20 issues--like they did in Denmark.

21 [Slide.]

22 Likewise, I think bacterial screening and

1 keeping track of the results also provides us an
2 opportunity to link results from maybe different
3 areas, or results collected over short periods of
4 time, which may help uncover outbreaks.

5 [Slide.]

6 Finally, issues with supply. I think some
7 very important questions have been raised--and some
8 legitimate concerns--about the utility of some of
9 the non-culture methods for screening. We
10 certainly want to protect the blood supply, but we
11 also want to have an adequate blood supply.

12 Now, we always err on the side of caution,
13 but if we have too many false-positive results,
14 some people have legitimate concerns that this may
15 have very serious implications on platelet supply.

16 So I think the standard represents a very
17 important step forward. However, there are
18 certainly some unanswered questions.

19 [Slide.]

20 How should we compile and track results?
21 How can we best use the results for quality
22 assurance? How sensitive and specific are

1 non-culture methods? And what impact might
2 false-positive results on supply?

3 Now, as we think about how we're going to
4 try and address these issues, it's important to
5 address them, I think, in a collaborative manner.
6 And it's important to build on past experiences
7 where we've tried to answer some of these
8 questions.

9 And I think we're lucky in that we don't
10 have to go back very far to find a directly
11 applicable example of how the public health and
12 blood banking community can work together to
13 address an important issue for public health.

14 And I point to the example of West Nile
15 virus.

16 [Slide.]

17 Soon after the identification of
18 transmitted associated West Nile virus, the blood
19 banking community and public health worked together
20 to implement screening for West Nile virus. The
21 AABB convened a task force that met regularly to
22 address issues with data monitoring and to

1 coordinate nationwide data monitoring.

2 This is truly an example of a public
3 health success--and a rapid one. Soon after
4 implementation of the standard, nearly a thousand
5 units of presumed infected blood had been detected
6 and removed. Now, given the fact that many of
7 these units could have resulted in multiple
8 products, many, many people have already benefitted
9 from this intervention, and continue to benefit
10 from it.

11 So I think collaboration is certainly key.
12 The West Nile Virus Task Force, and the BaCon
13 study, I think, were excellent examples of how the
14 blood banking community and public health can work
15 together to address very important issues for the
16 public health. And I think bacterial screening
17 provides yet another opportunity for collaboration
18 in this area.

19 There are a number of issues, I think,
20 that we in the public health are very interested in
21 working on, and working in a collaborative manner.
22 For example, how can we establish procedures to

1 collect information in a standard format? How can
2 we work together to put together projects to
3 demonstrate the use and value of screening as part
4 of quality assurance? How can we have projects to
5 prospectively evaluate the performance of these
6 screening methods, and the impacts on supply?

7 [Slide.]

8 So, in conclusion, bacterial screening of
9 platelets is certainly an important step forward.
10 And, like any step forward, it does raise some
11 important questions. And, as we have in the past,
12 I think it's going to be crucial that blood banking
13 community and public health work together to try
14 and address these questions.

15 And I'd be happy to try to answer any
16 questions that you may have, or any comments from
17 the committee.

18 Thank you.

19 CHAIRMAN SKINNER: Thank you for your
20 presentation. Are there questions from the
21 committee?

22 Dr. Linden?

1 DR. LINDEN: Thank you very much for the
2 interesting presentation. As a public health
3 person I certainly appreciate the issues that
4 you've raised.

5 I have two questions. One is: in regard
6 to the identification of organisms, which I agree
7 with your points about why this would be a very
8 valuable thing to do, but as we heard earlier, that
9 is really not the standard of practice at the
10 present time. So, while you gave some interesting
11 examples, they really are probably extremely
12 unusual events.

13 Does CDC have a list, specifically, of
14 organisms for which you would recommend donor
15 notification as an implication for donor health?

16 DR. SRINIVASAN: There's not a list that
17 I'm aware of at this point in time. Matt Kuehnert
18 may want to comment on this, as well. But I think
19 that's, again, an area where, if it's felt that
20 such a list would be useful, that's again an area,
21 I think, where we can work together to try and come
22 up with some of those standards and some of those

1 types of lists.

2 But, so far as I know, one does not exist
3 right now.

4 DR. KUEHNERT: I think that's something
5 that could be, you know, discussed in a task force
6 sort of setting--although I would say it probably
7 would start with those that are nationally
8 notifiable, and then expand from there.

9 But I certainly wouldn't want to imply
10 that every single organism necessarily needs to be
11 reported. But I think that should be the starting
12 point.

13 DR. LINDEN: Yes, I mean, I suspect that
14 number's actually pretty small, and pretty
15 infrequent--based on, you know, the organisms that
16 are going to be seen.

17 DR. SRINIVASAN: I think that's probably
18 true.

19 DR. LINDEN: My second question is: I was
20 somewhat surprised by what you said early on that
21 implied the CDC is suggesting that the blood
22 centers start up their own microbiology

1 laboratories, as opposed to sending positive
2 cultures to established microbiology laboratories
3 that would have a lot of expertise in identifying
4 and potentially speciating organisms.

5 DR. SRINIVASAN: No, and I--

6 DR. LINDEN: Did I misunderstand that?

7 Or--

8 DR. SRINIVASAN: No--and I apologize if I
9 created a policy. No.

10 [Laughter.]

11 The point to make was that if you were
12 going to implement that in-house, there would be,
13 of course, a significant investment in having all
14 those resources in place; and, of course, the issue
15 of sending out--there are some costs associated
16 with that.

17 But, no, we don't recommend that
18 individual centers bring in-house all of the
19 equipment if there is send-out capability to get
20 those done. And I a
21 apologize if that was unclear.

22 CHAIRMAN SKINNER: Other questions?

1 Dr. Sayer?

2 DR. SAYERS: Thanks.

3 I don't think we're very far from having
4 to include in the donor consent the warning that
5 the donor's active donation may render him or her
6 an object of curiosity to the CDC.

7 [Laughter.]

8 So, you know, against that background, I'm
9 wondering if you're recommending that the
10 identification of a bacteria by specie, in an
11 asymptomatic but bacteremic donor should become a
12 notifiable illness.

13 DR. SRINIVASAN: Well, I think it depends.
14 I think it depends on the organism. And I think,
15 as Dr. Kuehnert, you're suggesting, that there are
16 already organisms that would require that.

17 Whether we make that--I think that's a
18 topic for discussion. I don't think we would want
19 to say a coagulase-negative staph in a blood
20 culture from a donated unit is a notifiable
21 disease. But I think that we need to work together
22 to decide what would be things that we want to have

1 on that type of list.

2 CHAIRMAN SKINNER: Other questions?

3 Thank you very much for your presentation.

4 DR. SRINIVASAN: Thank you for letting me
5 present now. Thank you.

6 CHAIRMAN SKINNER: It's just after one
7 o'clock. I'd like the committee to try to return
8 as close to two as possible. I realized that's a
9 short lunch, but that will help us get out of here
10 on time.

11 Thank you.

12 [Off the record.]

1 A F T E R N O O N P R O C E E D I N G S

2 CHAIRMAN SKINNER: Back on the record.

3 I actually believe there's a quorum in the
4 room. Some of the audience, I know, will be
5 trickling back in.

6 I know we have an extremely tight schedule
7 this afternoon. I know a number of committee
8 members are going to have to start leaving around
9 four o'clock. And so it's important that we move
10 through the agenda as quickly as possible so that
11 we have a quorum to actually make committee
12 recommendations later this afternoon.

13 So I have asked the speakers--I don't want
14 to do a disservice to their presentations and their
15 travel, but to avoid repeating information that's
16 already been imparted for the committee, and to
17 move as quickly as possible through their
18 presentations.

19 The first presentation this afternoon is
20 to pick up the one item that we did not have this
21 morning, which is Dr. Richard Davey, from the New
22 York Blood Center, had some brief comments that he

1 wanted to make.

2

Public Comment

3

New York Blood Center

4

DR. DAVEY: Thank you, Mr. Chairman, and
5 thanks to the committee.

6

Again, I would like to just very briefly
7 summarize the experience of New York Blood Center,
8 in terms of our experience with bacterial detection
9 implementation.

10

The New York Blood Centers, as you may
11 know, is the largest independent Blood Center in
12 the country. We're a member of ABC. We draw and
13 transfuse about 500,000 units of red cells every
14 year; 50,000 single-donor platelets; and about
15 50,000 platelets derived from whole blood--which
16 would be about 10,000 pools. So we're about 85
17 percent--our customers are about 85 percent
18 converted, or acceptant of single-donor platelets
19 in the New York area. We serve about 200
20 hospitals.

21

Shortly after I arrived at the New York
22 Blood Center about two-and-a-half years ago, we had

1 a cluster--an unfortunate cluster of events with
2 contamination with--I hope it wasn't related, these
3 events--involving both single-donor platelets and
4 random platelets, which involved significant
5 patient morbidity and mortality. So it certainly
6 got our attention. And, along with this committee
7 and others, we decided to move aggressively to do
8 what we can to address this problem.

9 [Slide.]

10 Again, just as others--you've heard from
11 other blood centers and other organizations--we had
12 a number of operational considerations to deal
13 with: whether to select Pall or BioMerieux--if I
14 pronounce it right. We had to go through a lot of
15 validation, obviously; a lot of SOP writing;
16 clearly a lot of training and competency testing.
17 We had to decide whether we were going to test the
18 primary bag or split products. We decided to go
19 with the primary bag--as most others. We had
20 staffing issues in two laboratories that were
21 getting up to speed.

22 The FDA and the New York State Department

1 of Health were very helpful to us in terms of
2 getting through the regulatory and licensing
3 arrangements. My thanks to Dr. Linden and her
4 colleagues from the State.

5 We did have medical considerations--we
6 have a medical director's council that evaluated
7 the medical considerations that were involved in
8 this implementation. We decided to incubate--we
9 use the BioMerieux system--we selected that. And
10 after--24 hours after collection, we take a
11 sample--aerobic sample only--and we held that
12 sample in an incubator for 24 hours before we
13 decided to release products.

14 So we had to, as a medical group, decide
15 what criteria we should have in place for emergency
16 release of platelets before the 24-hour incubation
17 period was completed. That would be in times of
18 severe shortage, or in special needs for specific
19 platelets for our hospital customers.

20 We also dealt with issues of donor
21 management--you've heard what others have done.
22 Our decision was that with positive cultures,

1 donors would be notified, but we would put into
2 place, as much as we could, a little bit of art of
3 medicine. We were very cognizant of the fact that
4 certain organisms are more worrisome than others;
5 Gram-negatives versus common skin contaminants. In
6 talking to donors, we wanted very much to know what
7 their health status had been since donating the
8 platelets; had they developed a fever or any other
9 signs of a bacterial sepsis situation? And,
10 obviously, if there was a significant organism that
11 might produce more severe complications--both in
12 donor and recipient--we wanted to make sure those
13 donors got proper medical care.

14 If a donor was feeling well, it was a skin
15 contaminant, we would not do any further work, but
16 that particular donor was flagged for a second hit
17 if that should occur.

18 [Slide.]

19 We had significant problems with inventory
20 management; with product availability to our
21 customers; distribution of product. We did deal
22 with what we should do with important products and,

1 indeed, we assured that we were--we assured our
2 customers that all important products to the New
3 York area were from other organizations that did
4 conduct appropriate testing. And we did a lot of
5 work with our hospitals to let them know what was
6 coming.

7 [Slide.]

8 We did select the BioMerieux system. As I
9 said, we decided to test the primary bag; the
10 aerobic bottle only. I think you've heard most of
11 the reasons why others have selected that also.

12 And we did make testing available for our
13 hospital customers--if they so wished, we would do
14 the testing for them.

15 We went live on October 12
th last year.

16 Our licenses--this was right after New York State
17 granted the licenses to our two facilities that do
18 the testing, and we proceeded to test all
19 single-donor platelets--I'll talk about randoms in
20 a minute.

21 [Slide.]

22 As Rich Counts mentioned yesterday--and

1 others--one of our real challenges is the weekly
2 variation in supply, versus the demand from our
3 customers. And the fact that now our outdating was
4 more compressed, we felt we lost a half a day from
5 where we were before. This accentuated this weekly
6 fluctuation. And we've really concentrated on
7 increasing Sunday collections.

8 [Slide.]

9 Our results to date are as follows--this
10 is about three weeks ago. We've tested over 20,000
11 single-donor products. We have released three
12 products under emergency or administrative release,
13 and they have been for HLA-matched platelets at
14 hospitals that have specifically requested to be
15 available as soon as possible.

16 We have detected five true positives--or
17 .02 percent of the products tested; one in a little
18 over 4,100, true positives. The three organisms
19 that I'm aware of are all strep organisms; Strep
20 Group C, Strep mitis, Strep A--no bad actors we
21 detected at this point.

22 We've had five false-positives, which are

1 bottle positives, product negatives--again, with a
2 one in a little over 4,000, false positive rate.

3 [Slide.]

4 in terms of inventory management: we've
5 been--one advantage of this whole opportunity for
6 the New York Blood Center is it's really focused us
7 on being very precise in managing our inventory.
8 Hopefully, we were doing that before, but we're
9 even more attentive to this right now. And we
10 really feel we've only lost about a half a day in
11 inventory. Of course, in a five-day product,
12 that's still a significant change.

13 [Slide.]

14 We've worked very hard on cooperation
15 between our hospitals, between our different
16 regions, in transferring product. We've engaged in
17 a lot more deliveries to our hospitals. We've gone
18 to encouraging them in having more product on
19 demand, rather than having standing orders. And
20 this has been worked out pretty well. Our
21 hospitals have been quite happy with the
22 arrangement so far, even though they have

1 experience up-tick in outdating.

2 [Slide.]

3 Just a little bit about our weekly
4 variation. If you look at--let's call it
5 "distribution by day," but I think it correlates
6 with "transfusion by day."

7 You can see that most of our distributions
8 are, obviously, mid-week, toward the end of the
9 week, with the weekends being variance from
10 average, quite a bit below our average--of your
11 average through the week. You can see the positive
12 variance and the negative variance, in terms of
13 distribution of our product.

14 [Slide.]

15 Now this would result--if we could even
16 this out, this would result in what we'd like to
17 see is a real change in collections by day. If we
18 look at the median collections by day, what we
19 would need to do to kind of even this out is
20 increase our Sunday collections by about 26
21 percent, our Monday collections by 19 percent; a
22 little bit more on Thursday, Friday and

1 Saturday--and in mid-week--look at that--Tuesday
2 and Wednesday, we would have to really decrease our
3 collections, right in the middle of the week, by
4 significant percentages--30 and 37 percent.

5 We're working on this as hard as we can.
6 And this--because what we're seeing now is we have
7 enough platelets, but we're outdating platelets on
8 the weekend, and running out mid-week. We're
9 actually outdating about 14 percent of our
10 platelets, but yet we occasionally have to import
11 on mid-week--Tuesday and Wednesday. This isn't
12 good. We need to smooth this out, but it's been
13 accentuated--this problem's been accentuated by the
14 shortened period that we have platelets available
15 for distribution.

16 [Slide.]

17 We're working very hard on a Sunday
18 campaign; encouraging our donors to come in on
19 Sunday and donate. And it's working--but we still
20 have a ways to go.

21 [Slide.]

22 So my last slide is that our weekly

1 variation does continue. We're finding we're short
2 on Tuesdays and Wednesdays; outdating on Friday and
3 Saturdays.

4 In terms of RDPs, we do distribute--as I
5 mentioned--about 60,000 RDPs; again, about a
6 five-to-one ratio of SDPs to RDPs in our
7 organization. We accept any whole blood-derived
8 platelet that our customers find positive by
9 dipstick or any other method--we will accept back
10 at the blood center. And we will culture that
11 particular unit. All associated products, we do
12 quarantine at that point also.

13 We now have had about 37 whole
14 blood-derived platelets sent for culture. None
15 have been positive so far. We're finding that the
16 quarantining and subsequent release of the
17 associated products is painful and time-consuming.
18 We'd like to get around that. We're re-evaluating,
19 if we continue to get all negative cultures on
20 these RDPs, whether we need to go ahead and
21 continue to quarantine all these associated
22 products.

1 We're assisting our hospitals with their
2 RDPs. We're going to be giving our major hospitals
3 that use this particular product pH meters, so that
4 they can get a bit more objective. They're using
5 dipstick--get away from a dipstick to use a pH
6 meter to perhaps get a little bit more objective.
7 But this obviously isn't the ultimate solution to
8 this problem.

9 So, in conclusion, at the New York Blood
10 Center, we've had an experience that's now gone
11 back several months. We're working through this
12 with our customers. We feel that it's going well.
13 But we ask the committee to support studies
14 designed to permit both pre-storage pooling of
15 RDPs, and extension of platelet storage to seven
16 days. We think that both of these steps will be
17 very useful in working through inventory matters,
18 and allowing platelets to be available for our
19 customers.

20 Thank you.

21 CHAIRMAN SKINNER: Richard, thank you very
22 much for your comments. We appreciate it.

1 Karen mentioned this morning that she had
2 a couple more slides she was able to put together
3 over lunch that they were going to present to fill
4 in a couple holes. And if we could take those
5 quickly at this time, as well, please.

6 DR. SAZAMA: I was just asked if these are
7 factual slides. And these are factual slides.

8 [Laughter.]

9 CHAIRMAN SKINNER: Thank you. That's now
10 in the transcript.

11 DR. SAZAMA: We just had three more
12 questions that we thought we'd share, quickly.

13 The question was, have you changed your
14 request for platelets--this was from the
15 transfusion folks, or the hospital blood
16 banks--from whole blood-derived to apheresis
17 platelets as a result of receiving or being offered
18 untested whole blood-derived platelets in response
19 to the orders?

20 That's a little bit convoluted. Everybody
21 with me on that questions? Meaning--has the
22 hospital changed.

1 [Slide.]

2 And the responses are, combining again
3 both the hospital blood banks and the transfusion
4 services, the response was that they would test;
5 instead of rejecting the order, they would test,
6 themselves. That was the response for 123. We
7 didn't do the percentages here; that 78 of the
8 responders said they would change to apheresis
9 only, to get the cultured ones; and 28 had other
10 responses.

11 So, again, there's a fairly sizable
12 minority there that would say they'd rather have
13 apheresis that were already tested.

14 [Slide.]

15 The blood centers response, when asked,
16 you know: what happens if--have you been a position
17 where you can't distribute the whole blood
18 platelets--which was kind of the reciprocal of the
19 question--11 of them answered "yes"--11 out of 34,
20 about a third; 20 answered "no," that they would
21 not--they have not found it a problem.

22 So, again, about a third are finding that

1 their facilities are saying if you can't give me
2 tested, then give me apheresis.

3 [Slide.]

4 In response to a question about handling
5 co-components, the question is: "If you get a
6 positive or unacceptable test result, will you
7 withdraw co-components?" And we combined the
8 results here--actually, there are two categories--I
9 mentioned earlier today--of the hospital blood
10 banks. And so they're all listed together here.
11 One group is those that import; the second group is
12 self-sufficient, and the other is transfusion
13 services.

14 And the answer is overwhelmingly
15 "yes"--19, 10 and 150 would withdraw co-components.
16 But there are--there's one each of the two blood
17 bank facilities that said "no," and 10 of the
18 transfusion services that said "no." And, then, of
19 course, we have the ever-popular "other," which is
20 comments that need further evaluation.

21 [Slide.]

22 And what about donor notification? "If

1 you get a positive or unacceptable test
2 results--"--I'm sorry, this is not notification,
3 this is about what do you do about the donor--how
4 will you treat the donor? The blood center
5 response for whole blood platelets is the first
6 column to the right of center, and apheresis
7 platelets is the far-right column.

8 What do you do about the donor who has a
9 positive test result? And you can see, for whole
10 blood, the answer was they would temporarily defer
11 the donor; for apheresis, five results were that
12 they would temporarily result [sic], one would
13 place them on a surveillance for a whole blood
14 positive, and three would for apheresis platelets.
15 One facility said they would not defer them for
16 whole blood, and four facilities said they would
17 not defer the donor. But the popular answer here,
18 of course, was "it depends on what the culture
19 shows." And, again, I think you've heard some of
20 that discussion. I can't give you further details
21 about that, but it appears as though there is some
22 appreciation for the fact that if it's an apparent

1 bacteremia, that that might be treated differently
2 from a skin contaminant.

3 Those were all the slides that we had
4 prepared.

5 Thank you.

6 CHAIRMAN SKINNER: Thank you.

7 Specific just to these slides, is there
8 questions that the committee--Mark?

9 DR. BRECHER: A factual comment.

10 In one of the AABB guidances that was put
11 out for everybody, there was an algorithm,
12 principally prepared by Jim AuBuchon, but also that
13 I had input in. And in that, it says that if the
14 organism is an organism likely to be from a
15 bacteremia, or if the organism--or if the donor has
16 been implicated twice, then the donor should be
17 evaluated. And I suspect that's where a lot of
18 people are taking their lead from.

19 DR. SAZAMA: I agree. I think that's true.

20 CHAIRMAN SKINNER: Thank you for preparing
21 that so quickly.

22 Next up, we're going to hear Roger Dodd

1 present. And, again, I apologize for asking you to
2 keep it tight, but I know we all appreciate the
3 importance of getting to the recommendation phase.

4 Next Steps Beyond bacterial Testing of Platelets

5 Extension of Platelet Dating and Pre-Storage

6 Pooling of Whole Blood-derived

7 DR. DODD: Thank you. In interests of
8 disclosure, I do sit on an advisory panel to Pall,
9 and under the same conditions of others who have
10 made this kind of disclosure.

11 I put my talk together on Saturday, and
12 I've been sitting through this meeting and
13 realizing that all I've done is to review things
14 that everybody else has said. So I'm going to run
15 through these rather rapidly. I'd like to draw
16 your attention to a few points. But if a slide
17 goes by, you've seen it before. So don't worry.

18 [Laughter.]

19 [Slide.]

20 I think my message--my take-home message,
21 which I'll give first, is that there's a clear need
22 for the availability of platelets with extended

1 storage time, and a definite signal that a
2 pre-pooled product would be useful.

3 There's plenty of evidence from other
4 countries that both of these requirements can be
5 met. But as we heard from the FDA yesterday, there
6 are going to be some significant requirements in
7 establishing the bacteriologic safety of such
8 products.

9 And it's my believe that these are going
10 to be extremely arduous, if not almost impossible
11 to achieve, and therefore creative solutions will
12 be required.

13 [Slide.]

14 I think that we've all see all of this
15 background. I think that I will just draw your
16 attention to a certain amount of regulatory
17 uncertainty in moving ahead toward these two
18 products.

19 The tests currently in use are really
20 approved only for quality control of products, and
21 not for release. I think that the community's been
22 doing a terrific job of using these tests to

1 improve the safety of the product, or to generate
2 an appearance of improving the safety of the
3 product.

4 [Laughter.]

5 But you heard that a test approved for
6 release is going to be needed, and the pathway to
7 safety validation of these products, in my mind, is
8 not clear.

9 [Slide.]

10 This one we've all seen.

11 [Slide.]

12 And I think that I just want to point out
13 that everybody you've spoken to has commented that
14 the available shelf-life of the platelets has been
15 decreased as a result of testing, and that we've
16 seen changes in outdating and/or availability
17 patterns for the products. I think that Rick Davey
18 made this point very clearly, and it should still
19 be fresh in your mind.

20 [Slide.]

21 The perceived prerequisites for a
22 seven-day platelet in the U.S.: satisfactory

1 maintenance of platelet properties at day seven in
2 vitro and in vivo. There has been, I think,
3 scientific acceptance of this position, and
4 regulatory approval of at least some containers.

5 The other issue is the maintenance of
6 product bacterial safety. And, as you heard
7 yesterday, the current thinking of the FDA is that
8 we would need to use a bacterial test approved for
9 release, and demonstrate the equivalence of seven-
10 and five-day platelets after the use of the release
11 test. No attention has been paid to the question
12 of whether a day-seven platelet with a bacterial
13 release test would be as safe as today's day-five
14 platelets--which is another way of looking at this
15 possible requirement.

16 [Slide.]

17 There really have been no clear guidances
18 about the way to clear a test for product release.
19 Again, we heard a real-life 50,000 point study of
20 actual products. This would actually differ--and I
21 realize that detection of bacteria differs very
22 much from any of the other tests we do, because of

1 the problem of subsequent outgrowth, but normally
2 the requirements of a test to be approved for blood
3 screening require definition of sensitivity,
4 specificity, reproducibility, and non-interference.

5 Sensitivity definition is usually done
6 with known samples in field conditions. And the
7 epidemiologic specificity claims are based upon
8 donor population data. So we're really looking for
9 the proportion of negatives who test negative in
10 these very large studies for routine samples.

11 But it appears that there will be
12 different standards for bacterial tests; for
13 example, definition of the negative predictive
14 value of a test by re-testing at day five and
15 perhaps day seven.

16 Would it be possible to do some of these
17 studies by spiking?

18 [Slide.]

19 These are data from Dr. Mark Brecher, and
20 I've put them up to show that this is but part of a
21 significant body of spiking studies, and this
22 represents hours to detection with a number of

1 organisms. You've actually seen this slide before.
2 But I think there's some capability to define the
3 performance of these tests for specific bacteria by
4 spiking studies.

5 [Slide.]

6 I remind you that you've heard that
7 seven-day platelets are currently in use in Europe.
8 There has actually been limited emergency use in
9 the U.S. Jim AuBuchon reported on this in his own
10 hospital studies.

11 The BaCon study suggests that fatalities,
12 in contrast to prior indications, tend to occur
13 early in the life of platelets, rather than late in
14 storage, particularly for SDPs. Now, we've hear
15 that these data are extremely limited and not
16 definitive. And I'll also show you a little piece
17 of data from Hong Kong, relating to day five and
18 day seven.

19 [Slide.]

20 We saw the overall results--the BaCon
21 study. The interesting thing from these data that
22 were not shown earlier that the risk of fatality

1 was much more associated with Gram-negatives.
2 These tend to be fast growing. They tend to come
3 from circulation and not from the skin--and
4 interestingly--that the platelet storage time for
5 fatal cases was about two-and-a-half days, compared
6 to about five days for non-fatal cases. Both of
7 these observations were statistically highly
8 significant.

9 I think the rest are of relatively
10 importance, other than that the cases were
11 recognized much earlier when there was a
12 fatality--presumably because of the high levels of
13 bacteria present.

14 [Slide.]

15 I won't talk about that, but I will
16 introduce another topic, which speaks to the five-
17 versus seven-day experience. There is very limited
18 data about this.

19 [Slide.]

20 This came from a study in Hong Kong and
21 relates to whole blood-derived platelets. They have
22 slightly different culturing procedures from us,

1 but they compared 3,010 culture-negative platelets
2 which were stored for five days, with another 3,010
3 that were stored for seven days. These were
4 re-cultured at day six or eight, and four
5 additional positive cultures were found in each
6 group; .0133 percent. So these would have been
7 negative at issue.

8 They were all staphylococci and P. acnes.
9 The only difference was that there was one staph at
10 day five, and two at day seven. But I hardly think
11 that this would be statistically significant.
12 There were significant levels, because they were
13 detectible by Gram stain.

14 So this is all the data that we have. It
15 does say that there may be more culture detectible
16 bacteria at day five, but in this study we did not
17 see--or the Hong Kong team did not see an
18 increment.

19 [Slide.]

20 We talked yesterday--Jaro Vostal talked
21 yesterday, about protocols to assess seven- versus
22 five-day bacterial contamination rates. This was

1 the other protocol that had been presented to FDA,
2 and Jaro outlined it.

3 FDA has suggested a total number of
4 50,000, with bacterial evaluation ingoing--as we've
5 discussed for the last couple of days. In order to
6 do this, we believe that we would have to keep
7 in-house outdates, because the logistics of
8 recovering outdated products from numerous
9 hospitals are not good. And, as Allan Ross showed
10 this morning, that's currently about three to four
11 hundred per week for the Red Cross, which is
12 approximately half of the U.S.

13 In order to achieve this, and to do the
14 evaluation of outdate on day seven, we'd need
15 50,000 data points as a minimum--although Steve
16 Wagner pointed out that some statistical
17 assessments which suggest a million might be
18 necessary.

19 And this is barely feasible, I believe.
20 At current rates, it would take about two years to
21 accumulate the study sample, using all available
22 in-house outdates in the U.S. The resource

1 requirements we've estimated are certainly more
2 than \$5 million, and we are not clear, at this
3 time, what the regulatory response might be to the
4 data that would come out of this.

5 We don't know whether the cost-benefit of
6 doing these studies compares with alternate
7 collection strategies, such as the Sunday
8 collections that you heard about, or modifications
9 of inventory and usage patterns. These are things
10 that we intend to look at.

11 [Slide.]

12 So, I think this is pretty obvious. My
13 point here is that for seven-day platelets, if the
14 bacteriologic safety objectives cannot be met by
15 the proposed approaches, then we're going to have
16 to have creative alternatives. Perhaps we could go
17 to a six-day platelet without any further work.
18 Who knows?

19 [Slide.]

20 Pooled whole blood-derived
21 platelets--similarly, you've heard that 25 to 30
22 percent of therapeutic doses in the U.S. are whole

1 blood-derived, and in some hospitals it's 100
2 percent.

3 One of the values of whole blood-derived
4 platelets, that they can support temporary or
5 long-term needs that cannot be met by increasing
6 donations for single-donor platelets--for apheresis
7 platelets. We can do this manufacturing change,
8 rather than by increasing the number of donors.

9 You've heard that they're used in pools of
10 five, but that in the U.S., those pools have to be
11 made, essentially, within four hours of usage.

12 [Slide.]

13 We've heard about the bacterial testing of
14 whole blood platelets. I would just point out that
15 most of the sensitive methods require a significant
16 volume to be withdrawn from the platelets, and this
17 leads to a loss of the therapeutic content, and
18 therefore it's better to take one sample volume
19 from a pool.

20 Again, there are perceived prerequisites.
21 We need an approved container--and at least one
22 manufacturer, as you've heard, is developing this.

1 We need maintenance of in vitro characteristics, in
2 vivo validation; proposed or new FDA criteria will
3 probably be needed.

4 We had heard concerns in the past about
5 the potential for mixed lymphocyte culture cytokine
6 generation in storage of pooled products. We
7 haven't heard much about that lately.

8 And the FDA had also raised the issue of
9 the integrity of using multiple sterile connecting
10 devices, but the Blood Products Advisory Committee
11 indicated this was not of concern to them. But,
12 again, we were advised of the issue of bacterial
13 safety in these materials.

14 [Slide.]

15 The donor exposure, in terms of number of
16 donors is the same as pools made in the hospital.
17 The real concern is that the large volume of the
18 pool may permit outgrowth to greater total
19 bacterial load, compared to late-stage pooling.
20 And Steve Wagner has data in this.

21 [Slide.]

22 In the other direction, we don't know

1 whether pooling is going to result in
2 self-sterilization, relative to the absence of
3 pooling WE don't know--although we can predict--the
4 impact of dilution on detectibility of products,
5 but we do know that we get a better sample--more
6 sample. But there are implications for
7 co-components. We would have to eliminate five red
8 cells for every pool that came out positive.

9 Outside the U.S.--as you heard--pre-pooled
10 platelets are the current standard, although
11 they're derived from Buffy-coats. This product
12 does not appear to be associated with excess
13 transfusion reactions, compared to non-leukoreduced
14 products in the U.S., and appears to be similar to
15 leukoreduced products. Bacterial testing has been
16 applied to these products with apparent success,
17 and the seven-day product is routine in some
18 countries. And, as you heard, just to the
19 north--Canada is evaluating implementation of this
20 approach.

21 [Slide.]

22 We really don't have guidance on bacterial

1 safety, although the FDA again reminded us that a
2 release test was needed. Are there regulatory
3 concerns beyond in vitro and in vivo
4 characteristics? We don't know about that this
5 stage?

6 [Slide.]

7 How do hospitals feel about this? It
8 certainly lifts a burden from them, and there was
9 brief mention of a potential seven-day product
10 here.

11 [Slide.]

12 So, in summary, bacterial detection is an
13 industry initiative to improve patient safety. And
14 I think you've heard a lot of evidence that this is
15 the case. But regulatory approval for product
16 release appears to be desirable or necessary,
17 according to the FDA, because these are now
18 approved only for product quality control--which
19 may account for some of the absence of the full
20 responses to the medical questions that have been
21 raised by this committee in the last couple of
22 days.

1 [Slide.]

2 Optimal achievement of patient safety and
3 adequacy of treatment are best obtained through the
4 availability of seven-day platelets, and pre-pooled
5 whole blood-derived platelets. Equivalent products
6 are available in other countries, with no evidence
7 of failures in safety or efficacy. And, finally,
8 there is a need to work with U.S. regulators to
9 develop rational and feasible pathways to
10 validation and approval of these new platelet
11 products.

12 Thank you.

13 CHAIRMAN SKINNER: Thank you. And thank
14 you for keeping your presentation succinct.

15 Questions from the committee?

16 [No response.]

17 DR. DODD: It really was a summary. Thank
18 you.

19 CHAIRMAN SKINNER: I've scared them all.

20 Moving on to our next presentation, at
21 this time we're going to hear from Dr. Scott
22 Murphy, with the American Red Cross, as well, on

1 future platelet research.

2 Future Platelet Research

3 DR. MURPHY: I, too, have associations with
4 manufacturers. We have research grants with
5 Baxter, Pall and Gambro. So I presume that you
6 should know that.

7 [Slide.]

8 I'm the chief medical officer at this
9 blood center--have we got a pointer?--

10 [Pause.]

11 --in downtown Philadelphia. I'm going to
12 be giving you my opinions. Although I'm a Red
13 Cross employee, I will--these will be my opinions,
14 not necessarily Red Cross opinions.

15 And I want to focus on--to some extent--on
16 the problems we have in our blood center, because I
17 think the research that's to come should be based
18 on issues that for which we need resolution.

19 [Slide.]

20 We've heard from Allan Ross that, within
21 the Red Cross, about 75 percent of platelet
22 transfusions are a apheresis. We're quite

1 different from that, with more transfusions from
2 random-donor platelets than we have with apheresis
3 platelets.

4 [Slide.]

5 The thing to emphasize, for us--s Dr.
6 Sayers said yesterday--that without having ready
7 access to lots of random-donor platelets we would
8 be in big trouble. And it's not so much that you
9 don't have enough, if you average out the whole
10 year, but when you have declines in donations
11 because of snow, or weather, or holidays, we crank
12 up our random production to meet the need.

13 [Slide.]

14 We know that bacterial contamination of
15 platelets and transfusion-related acute lung injury
16 are major causes of concern, in terms of
17 complications with transfusion. There was a
18 recent--to just say a word about TRALI, I think
19 it's an extraordinarily important aim for research
20 to be directed at that. There was a wonderful
21 conference in Toronto last week, which basically
22 asked more questions than providing answers. And I

1 think we really need to work hard in that area.

2 However, on a day-to-day basis, I really
3 only fret when we have an example of one or the
4 other. On a day-to-day basis, I'm worried about
5 availability of blood; Group O red cells, and
6 platelets.

7 [Slide.]

8 Now, as we've heard--and just to expand on
9 it a little bit--in Western Europe, the percentage
10 of products that are made from apheresis is only 42
11 percent. And you see that some countries like
12 Denmark, Finland, Holland, Portugal use almost
13 entirely pooled platelets, but they are pooled from
14 Buffy coats.

15 [Slide.]

16 And this is just a schema--which you
17 probably can't read, but--and Dr. DeKorte showed us
18 some of this--this is the PRP method. Here we have
19 a hard spin, to put the Buff coat right in the
20 middle. The red cells go out the bottom and the
21 platelets--plasma goes out the top, and then one
22 needs to dilute the Buffy coat--the pooled Buffy

1 coats in something, so many of the centers are
2 using additive solutions. And that has the added
3 advantage of making more plasma available for
4 transfusion and fractionation. To the extent that
5 we worry about reactions caused by antibodies in
6 the donor, those are diluted.

7 [Slide.]

8 I had an opportunity--I've had personal
9 opportunity to work with this technology, and this
10 just shows you briefly--the pre-storage pooled
11 Buffy coats--we found in two in vitro tests shown
12 here--ATP and osmotic reversal--a very striking
13 maintenance of characteristics and quality with
14 both, even out to 15 days of storage, with
15 platelets pooled from Buffy coats. The other lines
16 are control PRP platelets.

17 I'm personally convinced that this method
18 of making platelets from Buffy coats will allow a
19 prolonged storage beyond seven days. And so I
20 think that research should be done about this
21 method; about what the characteristics of additive
22 solution should be. And just to add on that,

1 Cheryl Shlichter, at the ASH meetings last
2 December, showed data about extension of pheresis
3 platelet storage to 15 days, and that was based on
4 products made with a Hemonetics, and with 80
5 percent additive solution--in her case, Plasmalyte.
6 So, I think this is the way things might go.

7 [Slide.]

8 Just as one more comment about apheresis
9 versus random--if we have in the United States
10 million donations per year, and if there are two
11 million platelet transfusions per year, there's
12 more than enough platelets in these blood donations
13 to satisfy most of the needs for platelets in the
14 United States, and that's what the countries in
15 Europe are taking advantage of.

16 So how can--if my major worry is
17 availability, what can we do to increase
18 availability?

19 [Slide.]

20 The first, and primary one, probably:
21 improve donor recruitment and retention; obtaining
22 more platelets from whole blood--don't throw away

1 the give that's already given; extend current
2 storage interval 22 degrees to seven days and
3 beyond.

4 [Slide.]

5 And just some new thinking about
6 temperature and platelet storage. In work in the
7 late 60s, we showed that storage in the cold was
8 associated with very short platelet survival, even
9 after about 24 hours. We correlated that with the
10 disappearance of the circumferential band of
11 microtubules. They disappeared after 24 hours in
12 the cold, and they would not be--they can't
13 re-form, and the platelet became an irreversible
14 spherical cell.

15 And I think that was the dogma for about
16 30 years. This group of scientists in Boston
17 studied mast platelets and their storage at 4
18 degrees. And they developed a new concept of the
19 storage elision; that glycoprotein 1Bà on the
20 platelet's surface
21 was altered, and could then be recognized by the
22 liver and cleared from the circulation.

1 They developed the novel ability to cover
2 the activated glycoprotein 1Bà with galactose
3 present in uridine diphosphate galactose, and found
4 that these spherical cells survived normally, at
5 least in the mouse. It's a long way from the mouse
6 to man. There are many similar proposals that
7 didn't pan out, but I think this is an
8 extraordinarily good one.

9 [Slide.]

10 I think we need research on the storage
11 elisions, but at 22 degrees and 4 degrees.

12 I think we have to be careful not to waste
13 platelets; adhere to the newly established trigger
14 of 10,000; and find out what the best platelet dose
15 is. I'm happy to say that transfusion medicine,
16 hematology clinical network established by the NIH
17 is going to embark on a study of platelet dose,
18 with a 1,200 patient study, using clinical bleeding
19 as the primary endpoint.

20 And, as I'll show you, knowing what the
21 dose is is very important, in terms of how you
22 handle pheresis.

1 [Slide.]

2 This is from Mark Brecher's work, showing
3 that high-dose therapy gives you a better
4 increment; you have platelets hanging around
5 longer. Shorter and more frequent transfusions ad
6 required by low-dose therapy. But, in the end,
7 when you calculate the number of platelets used,
8 one has to use more platelets with high-dose
9 therapy.

10 I think we should decrease low-yield
11 apheresis collections. We have found over the last
12 five years that our split rate has gone up
13 dramatically due to improved technology from
14 industry, without a substantial decline in what we
15 call "the distribution yield." There's no studies
16 in the literature, that I know of--I think they
17 should be done, obviously--of what the average
18 platelet content of pheresis platelets that are
19 sent to the hospitals.

20 [Slide.]

21 There's a very high correlation between
22 the collection yield and the--the average

1 collection yield per month, and the split rate. I
2 think that this--improving technology to allow
3 consistent production of units that are greater
4 than the split level, I think, would be very
5 important.

6 [Slide.]

7 And don't waste platelets to
8 alloimmunization. It was anticipated from the TRAP
9 trial that there would be a decrease in the number
10 of alloimmunized patients, due to the effect of
11 pregnancy. And the TRAP study did show only 50
12 percent efficacy.

13 And we, indeed, had a decline in the
14 number of matched platelets we distributed
15 practically to our 1991 level, but in 2003, it's
16 increased again. So I think this is still an
17 important phenomenon that we have to study and deal
18 with.

19 [Slide.]

20 This just shows the huge variability
21 within Red Cross blood centers as to how they get
22 platelets--or test them for alloimmunized people.

1 You see percent cross-match goes from--as opposed
2 to HLA typing--goes from less than 1 in Madison, to
3 94 in Atlanta.

4 [Slide.]

5 There's a new concept about how to match
6 platelets, called the "antibody specificity
7 prediction method," developed by Garrity, Petts and
8 Tarasaki. It's simple conceptually. You perform
9 lymphocytotoxic antibody screen, identify the HLA
10 antigens to which the patient has developed
11 antibody, and treat the patient with platelets
12 which lack those antigens; i.e., antigen-negative
13 platelets. They showed, in a paper in Transfusion
14 two years ago, that this was a quite good way to
15 support patients.

16 What makes the situation more attractive
17 is that there are now much more precise ways to
18 identify the specificity of the HLA antibodies in
19 patients, based on the fact that the antigens
20 themselves have been cloned and reproduced, so that
21 only one antigen is on this well. If there's an
22 antibody in the patient's serum, it binds.

1 [Slide.]

2 Oh, this doesn't project very well.

3 You then add an anti-human IgG with an
4 enzyme conjugate, and reveal that binding with a
5 typical olizen method.

6 There are also flowcytometric methods for
7 determining the specificity of HLA antibodies with
8 a unique, single HLA antigen on each bead.

9 So I would propose that we take advantage
10 of this technology in a clinical trial, to show
11 that our ability to support alloimmunized patients
12 has improved.

13 [Slide.]

14 I want to say a word about platelet
15 decontamination, or pathogen reduction. And these
16 slides just show the Baxter Serous technology. And
17 I think that most of you are familiar with S-59 and
18 UV light.

19 I think, in addition to cleaning up the
20 residual pathogens that we have in blood that have
21 escaped testing, there will be a marked decrease in
22 the concern over CMV transmission, because that

1 virus is killed easily by this kind of technology.

2 [Slide.]

3 The disadvantages of testing--developing a
4 new test over and over each year or so, has its
5 disadvantages. I'll just stress that many donors
6 are eliminated because of reactivity, even though
7 they're perfectly healthy.

8 And, less important, the lag time between
9 pathogen identification in the development of a
10 screening assay is significant. We know that in
11 2001, five patients died from West Nile virus,
12 whereas if this technology had been in place, that
13 would not have happened.

14 [Pause.]

15 Oh, here we go.

16 [Slide.]

17 This slide shows that there are large
18 number of pathogens emerging around the world, and
19 we can expect that new pathogens will come into the
20 blood supply, and perhaps they'd be better dealt
21 with a pathogen-inactivation mechanism.

22 I wanted to talk, then, about the testing

1 of platelets. How do we work with the FDA to show
2 that a product is suitable?

3 The paradigm, in 2002 and 2003, has been
4 to do a paired-study in the same donor, with the
5 experimental method and the control method.

6 [Slide.]

7 Now, the control has typically been what I
8 call "regular old platelets"--the oldest platelets
9 that you're allowed to store, and at the very end
10 of the license period for that storage--this is
11 perhaps a worst-case scenario for the control.

12 We need to develop a line in the sand as
13 to what will be acceptable. And when results in
14 different lab are shown, the "regular old
15 platelets" will vary widely. And there's some
16 potential here for creeping inferiority, where
17 you're 45 percent with your established method, and
18 then you go to 39 percent--that's not statistically
19 significant. Then you go to 32 percent, that's not
20 statistically significant. And pretty soon we're
21 going to have mush in our platelets.

22 [Slide.]

1 committee?

2 [No response.]

3 CHAIRMAN SKINNER: Thank you very much.

4 At this point I just would like to let the
5 committee to know that, in the interest of time,
6 I'm going to defer with the break. So if you need
7 to take a break, feel free to get up and do so.

8 Public Comment

9 Chiron

10 CHAIRMAN SKINNER: We're going to move
11 immediately to the public comment section. And we
12 did have one request for comments.

13 Ms. Deborah Dodge, with Chiron, wanted to
14 address.

15 MS. DODGE: Good afternoon. I'm Deborah
16 Dodge, Global Marketing Manager for the Chiron
17 Bacterial Detection Assay for use in screening
18 platelets for bacterial contamination. Thank you
19 for giving me this opportunity to speak to the
20 committee about the issues surrounding the
21 development of this assay.

22 Chiron, with its partner,

1 InfectioDiagnostics, is developing a nucleic acid
2 test to detect bacterial DNA in platelet
3 concentrates. The test detects a universal
4 bacterial gene which contains DNA sequences that
5 are highly conserved across all bacterial species.
6 This represents a major multi-million dollar
7 commitment by the company in its effort to rapidly
8 develop a blood safety screening test using a new
9 technology for the identification of bacterial
10 contamination.

11 Unlike the culture approach, this assay
12 will not be dependent on growth or metabolic
13 byproducts, but rather, only upon the number of
14 genes which are present in the sample at the time
15 the assay is performed. This raises the problem
16 that the sensitivity claims of the current products
17 are based on growth, and are therefore difficult to
18 compare to methods of direct detection.

19 The sensitivity of PCR is exquisite, and
20 we have been able to demonstrate the detection of
21 less than 1 CFU per ml, which is approximately
22 equivalent to 5 genomiccopies per ml. Our current

1 sensitivity goal is to develop an assay capable of
2 detecting 50 to 250 genomic copies per ml, which is
3 equivalent to 10 to 50 CFUs per ml.

4 The purpose of my statement is to make
5 three points. The test we are developing uses a
6 new technology which is not based on growth. It
7 will require a rethinking and new definition for
8 the sensitivity standard. We ask that the
9 committee take these critical into account.

10 Secondly, the costs of the trial for
11 release tests are prohibitively expensive, and it
12 is hard for a commercial manufacturer to justify
13 the cost and risk of such a trial. We would like
14 to ask that the committee consider recommending a
15 reduction in the scope of the trial, or rapidly
16 convene a workshop to discuss the best option for
17 trial design.

18 Lastly, it would be helpful to know that
19 the FDA will treat a release test for bacterial
20 detection as a public safety standard issue, so that
21 once the test is created, it will be recommended in
22 the guidelines.

1 Thank you for your consideration of these
2 requests as we work towards developing a nucleic
3 acid test to detect bacterial contamination in
4 platelet concentrates.

5 CHAIRMAN SKINNER: Thank you very much for
6 your comments.

7 Committee Discussions/Recommendations

8 CHAIRMAN SKINNER: At this point what I
9 would like to do is--we have a lot of work ahead of
10 us. It's three o'clock. I know some folks are
11 going to have to leave early. I think we'll skip
12 back to the CMS recommendations.

13 There were four resolutions that have been
14 suggested. I think they are all on the computer.

15 It would be my intent--unless there was an
16 objection--just to take them each as stand-alone
17 resolutions, in the interests of time, as opposed
18 to trying to combine them all into one. If, when
19 we get through all four we decide that it really
20 was better to combine them, then we can go back and
21 do it. But I think they are each--at least as I
22 understand them--relatively stand-alone. I believe

1 there are two that relate to exemption from the
2 competitive bid process. I believe there's one
3 that relates--seeking some clarification as it
4 related to the conference committee agreements on
5 compiling data. And then I believe there is one
6 that Dr. Heaton asked me to bring forward that he
7 drafted before he left, which relates to some data
8 collection on, basically, heading towards
9 reimbursement for safety measures implemented for
10 blood and plasma products.

11 So--I don't know which one you have.

12 [Slide.]

13 That's Dr. Heaton's recommendation.

14 So--he did speak with me, and I'll just briefly
15 explain it, as I understand it, to the committee,
16 and then share with you my brief conversation. And
17 he apologizes for not being here to present it.

18 I believe what he has presented is largely
19 consistent with previous committee recommendations;
20 that for some time the committee has
21 recommended--or excuse me, has talked about the
22 need for reimbursement to keep pace with the cost

1 of safety, and for the cost of advances in the
2 products, both whole blood plasma and the
3 recombinant analogues.

4 What--if the committee can read down
5 through it, towards the bottom of the page--or
6 actually, the "whereases"--the beginning is largely
7 just reciting relevant sections for the different
8 pricing provisions that were explained yesterday;
9 references to the new current provisions in the
10 MMA.

11 The next section, I think, actually that
12 starts, "The MMA Conference--"---"---the Secretary to
13 compile and clarify data--"---I think actually is
14 going to be the subject of Dr. Sayers'
15 recommendation--resolution. So that might be a
16 stand-alone resolution that I think Dr. Sayer is
17 going to present.

18 But I think the essence of what he's
19 looking for at the end are some guidance, and
20 perhaps asking HHS to do some studies to determine
21 incremental costs of the various safety measures;
22 data tracking; the AWC for whole blood products

1 and, I believe, also for plasma products. Although
2 it wasn't mentioned here, he indicated that was his
3 intent. It just didn't get in his draft when he
4 gave it to me--and then asking CMS to do some
5 assessments.

6 Now, my personal comments on it are that
7 these are all things that were consistent with what
8 the committee's talked about. The dialogue I had
9 with him after he gave this to me was whether or
10 not it might better for the committee to take this
11 as instructive and perhaps for the agenda
12 committee, or the Executive Secretary to look at
13 taking some of these issues and the committee using
14 them to build a future committee meeting around,
15 and flesh out some of these before--if they aren't
16 sufficiently detailed enough for us to ask for
17 studies at this point.

18 So I guess the question to the committee
19 is: do--is this something that we want to act on at
20 this point and reinforce that we want these kinds
21 of studies to proceed? Or is it something that we
22 would like to spend some more time talking about,

1 see if we can clarify the requests and then take
2 them forward?

3 Ms. Lipton?

4 MS. LIPTON: I guess--well, I have two
5 thoughts about this. I mean, I agree that
6 ultimately we want to get there, but there are a
7 couple of things that are--that would even prevent
8 us, I think, from getting good data.

9 One of the things that we've heard from
10 CMS is that they don't have the accurate data
11 already in there. That's why we're trying to get
12 them to first change their--clarify their policy so
13 that we can actually bill appropriately so they can
14 get in better data. And I think that's kind of the
15 track we were on.

16 And I guess I would rather have CMS put
17 its efforts into doing that, and clarifying
18 policies, than doing a long-term study that could
19 take them forever, and then we're sort of in a
20 holding pattern.

21 So, I'm sorry that Andrew isn't here, and
22 I didn't know about this. I would have said this

1 to him personally. But I don't know that I
2 necessarily agree with going in this direction.

3 CHAIRMAN SKINNER: Other committee
4 comments?

5 Dr. Linden?

6 DR. LINDEN: I don't feel that we're at a
7 point yet of supporting this. You know, I agree--I
8 think we need to look more at some of these issues.
9 I mean, just one thing I noticed is I don't think
10 we're really talking about initiatives that are
11 actually required in regulation at this point. I
12 think we're talking about things that have really
13 become industry standard, or maybe recommended by
14 FDA.

15 So--but I think some of these issues are
16 things that we have talked about, in terms of
17 getting industry there. But I agree with what
18 Karen said, and maybe there's other things that
19 need to be looked at, to get at this issue.

20 CHAIRMAN SKINNER: Am I hearing a sentiment
21 that this resolution, although we don't disagree
22 with the direction it's going, that it should be

1 more guidance for the committee, or for us to look
2 at areas that need more exploration, and not to
3 take action on it at this time?

4 MS. LIPTON: That would be my
5 recommendation.

6 CHAIRMAN SKINNER: Okay.

7 Dr. Sayers, did I characterize it
8 correctly that your resolution that you drafted is
9 going to capture the item on the MMA?

10 DR. SAYERS: In this regard, to this
11 resolution--or recommendation--I was just going to
12 agree with Karen. I think we're in a stronger
13 position if we pain with a broader brush stroke.
14 And certainly some of the issues that Andy raised
15 could be for development of agendas, which would
16 enable us to speak with, I think, more strength and
17 more confidence.

18 CHAIRMAN SKINNER: Okay.

19 Then unless there's any further discussion
20 on that, we'll move on to the second resolution,
21 which I believe is the one that Dr. Sayers has
22 drafted, and I'll let him speak to it.

1 It was there.

2 DR. SAYERS: This was in the interest of
3 being short and sweet.

4 [Slide.]

5 Unfortunately, I can't read it and speak
6 into the microphone at the same time.

7 [Laughter.]

8 Why don't you read it.

9 CHAIRMAN SKINNER: I believe the section in
10 the quotes is directly out of Dr. Bowman's
11 presentation yesterday, and that's what you were
12 referencing, is--virtually the last paragraph in
13 his presentation from yesterday.

14 DR. SAYERS: All right. Triumph of
15 technology here.

16 So-- whereas a safe, available and
17 affordable blood supply is an essential--that
18 should be "national" resource, and whereas the
19 committee applauds Secretary Thompson's recognition
20 of the importance of a sound policy of
21 reimbursement, the DHHS ACBSA--that's us--one,
22 reiterates the recommendations of their January the

1 28
endorses the

th and 29th, 2004, meeting; secondly,

2 MMA Conference Agreement statement--and that is
3 direct from the presentation yesterday, namely "The
4 Secretary is directed to compile and clarify the
5 procedures and policies for billing for blood and
6 blood costs in the hospital inpatient and
7 outpatient settings as well as the operation of the
8 collection of blood deductibles." And, three, we
9 urge that a timeline be applied to the above
10 directive.

11 And the reason I thought short and sweet
12 would be good was I thought we had got off to a
13 flying start, particularly when I read Secretary
14 Thompson's response to Dr. Brecher's letter.
15 That's why I thought it would be worthwhile
16 reiterating those recommendations, which certainly
17 sounded like they--if didn't get a warm reception,
18 at least got some sort of a reception.

19 And then I thought that if we had a
20 timeline to that directive to the Secretary, it
21 would certainly remind the hospitals that this
22 committee is making attempts to ensure that some of

1 these reimbursement inequities are addressed.

2 CHAIRMAN SKINNER: Mark--are there
3 other--Dr. Holmberg?

4 DR. HOLMBERG: Yes, I just would ask the
5 committee members that are associated with the
6 plasma community whether this would include them
7 also?

8 MR. HEALEY: Well, that's a direct quote
9 out of the MMA, I believe. And as written, it does
10 not cover plasma or recombinant therapies.

11 The watchwords there usually are "blood
12 and blood products," or "blood components." And it
13 simply says "blood." So--my reading of it is that
14 it would not include that.

15 Now, whether we sought to recommend that
16 it be expanded, I guess that would take some more
17 consideration. So I would need to think about that
18 and confer with--

19 DR. SAYERS: You know, the recommendations
20 of the 28
Item 3, did specifically

th and 29th, in

21 mention plasma-derived therapeutics and their
22 recombinant analogues. So that's why I referred

1 back to the recommendations of that meeting.

2 CHAIRMAN SKINNER: Is there--in that vein,
3 then, would there be a suggestion that an item
4 three be added? That this statement be expanded to
5 include plasma-derived products and the recombinant
6 analogues, and then have your existing three as an
7 item four?

8 MR. HEALEY: Dr. Sayers, is what you're
9 saying that the 28
10 th and 29th recommendations, they
11 do include plasma and recombinant therapies.

12 DR. SAYERS: You know, that recommendation
13 said, "Address funding needs at all levels of the
14 blood system to support safety,
15 availability--"--and essentially it was the Gerry
16 Sandler section, saying that there should be
17 appropriate reform of the CMS reimbursement system
18 for blood and blood products, including
19 plasma-derived therapeutics and their recombinant
20 analogues.

21 MR. HEALEY: I mean, I guess, inasmuch as
22 this paragraph really is just seeking some
clarification, you know, that's kind of

1 mom-and-apple-pie. So I can't imagine anyone's
2 going to oppose that. So I guess I wouldn't object
3 to expanding it to include plasma and recombinant
4 therapies.

5 DR. LINDEN: But if you're saying that
6 basically the recommendations that we're endorsing
7 already include plasma-derivatives and the
8 recombinant analogues, I don't think we want to
9 suggest that we're modifying those. Perhaps we
10 just want to, in number one, expand the language a
11 little bit to reiterate them--what they are,
12 instead of just cross-referencing them, to make
13 clear that it covers all of those things.

14 MR. HEALEY: I think that's an--

15 DR. LINDEN: Because we're not changing
16 them, from what I'm hearing.

17 MR. HEALEY: That's right. And so what
18 you're saying is: under one, that reiterates the
19 recommendations of the January 28
th--

20 DR. LINDEN: Yes, relevant to this, that
21 and the other thing.

22 MR. HEALEY: --which includes--

1 DR. LINDEN: Yes.

2 MR. HEALEY: --blood products, and plasma,
3 and--

4 DR. LINDEN: Right Exactly. Just include
5 some of that language.

6 MR. HEALEY: Mm-hmm.

7 CHAIRMAN SKINNER: So, in essence, what
8 you're saying then is that we're interpreting this
9 language to be all-inclusive, like our statement
10 was, and we're--because if we just state ours, and
11 then we accept theirs, and their's doesn't mention
12 it by reference, then it sounds like we're saying
13 we're okay with this much, and then come back and
14 do the other.

15 And it seems to me we have to repeat--

16 DR. LINDEN: Well, but I thought that
17 Merlyn was saying that their language does, in
18 fact, specifically include that language.

19 CHAIRMAN SKINNER: The 28th and the 29th is--

20 DR. LINDEN: Can be--

21 CHAIRMAN SKINNER: --the BSA committee
22 recommendations--

1 DR. LINDEN: --I mean, is there not a
2 specific chunk of their language that we can just
3 throw in there and cross reference?

4 DR. SAYERS: Do you have that technicolor
5 three-ring binder?

6 [Laughter.]

7 Because that--our recommendations of the
8 28 th and 29th are under the first blue
plastic sheet,

9 and they're Item 3.

10 CHAIRMAN SKINNER: Let me see if I can
11 attempt to clarify.

12 There is--item one refers to this
13 committee's specific recommendation, in which we
14 mentioned plasma-derived products and their
15 recombinant analogues. Item number two refers to
16 the MMA, which does not mention plasma-derived
17 products and the recombinant analogues.

18 I think the question before us is: do we
19 also want to ask, if this study goes forward, that
20 it parallel our recommendation, thus really
21 expanding upon what was in the conference committee
22 report for the MMA. And if we want them to do this

1 in a timely fashion, then do we also want them to
2 do the balance of our recommendation from January
3 28 th and 29th in a timely fashion?

4 [Laughter.]

5 MR. HEALEY: I guess my concern is, you
6 know, that's language straight out of the statute.
7 And to start suggesting that the language ought to
8 be interpreted differently or changed or something
9 like that is perhaps presumptuous, and maybe
10 inappropriate for the committee.

11 I think the point--the first point,
12 reiterating our recommendations and drawing
13 attention to the fact that it includes, you know,
14 plasma therapies and the recombinant analogues is
15 totally appropriate. And then in number three, if
16 we say "urge that a timeline be applied to the
17 above provision of the MMA and the recommendations
18 of the committee," then you sort of capture it all.

19 CHAIRMAN SKINNER: Is there consensus that
20 that captures it?

21 VOICE: Yes.

22 CHAIRMAN SKINNER: Any other comments on

1 that point, then?

2 Karen?

3 MS. LIPTON: No, not that one. I was just
4 concerned that when we talk about a timeline, that
5 I'm more concerned, actually, I think, with the
6 timeliness. I mean, we could have a timeline that
7 goes on for--five years. So, I'd somehow like to
8 capture the sense that we'd like something that
9 recognizes, you know, the urgency of--you know, of
10 doing this, so that we can somehow make sure that
11 reimbursements are timely, and--

12 DR. SAYERS: Well, it urges a timely
13 response to the directive; directive and to the
14 recommendations of the 28
th and 29th meeting.

15 CHAIRMAN SKINNER: We're seeking a timely
16 response to the committee? Or a timely action in
17 developing a timeline?

18 DR. SAYERS: It's a timely response to the
19 directive.

20 COL. SYLVESTER: But they could still
21 develop the timeline quickly that dragged out for
22 five years.

1 CHAIRMAN SKINNER: So then there will be
2 two modifications to item three: one to insert
3 "urge a timely response in developing a
4 timeline--"--

5 DR. SAYERS: Well, just a timely response
6 to the directive.

7 CHAIRMAN SKINNER: "Urges a timely response
8 to the directive--"--and then the language that
9 Chris suggested needs to go at the end of item
10 three. Okay--so--

11 DR. HOLMBERG: Okay. So--"urges a timely
12 response--"--then delete "that a timeline be
13 applied." Then, before the period, add--what did
14 you say, Chris?

15 DR. SAYERS: And the recommendations of the
16 January 28

th and 29th--

17 MR. HEALEY: [Off mike]--the aforementioned
18 recommendations of the committee.

19 DR. SAYERS: Right.

20 DR. HOLMBERG: "On the aforementioned
21 recommendations of the committee."

22 [Pause.]

1 CHAIRMAN SKINNER: Does this capture
2 everybody's thoughts? No.

3 Dr. Linden.

4 DR. LINDEN: No--we always get a timely
5 response. We get a letter back that says, "Yes,
6 thank you very much for your comments."

7 [Laughter.]

8 The idea of having a deadline, or a
9 timeline, I think was a very new idea. And Merlyn
10 had an excellent suggestion there.

11 CHAIRMAN SKINNER: Could we change it to
12 "urges timely action on the above directive?"

13 DR. LINDEN: Umm--

14 CHAIRMAN SKINNER: Or we could say "timely
15 action in development of a timeline."

16 [Laughter.]

17 [Pause.]

18 DR. HOLMBERG: Urges a prompt response?

19 MS. LIPTON: I don't think it's a response
20 we're looking for. I think we're looking for the
21 action to be timely. And that's--we don't care
22 about a "timeline," we care about a deadline for

1 action.

2 So I think "urges timely--"--what is that?

3 CHAIRMAN SKINNER: I think take out the
4 "a"--urges timely action?

5 MS. LIPTON: "In response to the above
6 directive and the aforementioned recommendations of
7 the committee."

8 So, Gerry, after "action," it would be "in
9 response to".

10 CHAIRMAN SKINNER: And then change the
11 "in"--

12 MS. LIPTON: Change the "in" to "and."

13 CHAIRMAN SKINNER: Any other comments or
14 suggestions?

15 Dr. Linden.

16 DR. LINDEN: Switching back to item one,
17 the language that we used last time, if we would
18 like to be consistent, is: "Blood and blood
19 products, including plasma-derived therapeutics and
20 their recombinant analogues."

21 CHAIRMAN SKINNER: Okay. He'll be working
22 on that.

1 Any other comments or suggestions?

2 [No response.]

3 CHAIRMAN SKINNER: All of those in favor,
4 aye.

5 [Chorus of ayes.]

6 CHAIRMAN SKINNER: Opposed?

7 [No response.]

8 DR. HOLMBERG: Wait a minute--let me go
9 back--"blood and blood products--"---

10 CHAIRMAN SKINNER: Oh, I have to take a
11 hand count? I'm sorry.

12 DR. LINDEN: "including plasma-derived
13 therapeutics--"---

14 DR. HOLMBERG: "--including plasma--"---

15 DR. LINDEN: "--derived therapeutics"--with
16 no hyphen, for some reason.

17 CHAIRMAN SKINNER: I'm sorry, I understand
18 I actually have to record a vote.

19 So, all those in favor, raise your hand?

20 [Show of hands.]

21 CHAIRMAN SKINNER: There are nine
22 affirmative votes, and the Chair votes aye as well.

1 So the resolution passes--unanimously.

2 Okay, the third resolution, please.

3 [Pause.]

4 Chris, can you explain your resolution?

5 MR. HEALEY: Yes, this came out of the
6 discussion yesterday where Dr. Bowman gave us a
7 great report on the new Medicare legislation, and
8 we noted that clotting factors--blood clotting
9 factors--were not excluded from the competitive bid
10 process under the MMA. And it was our clear
11 understanding that they indeed were intended to and
12 that, for whatever reason, that was not captured in
13 the final legislation, but that the conferees had
14 agreed that it would be excluded.

15 So, in reading the statute, we realize
16 that the Secretary has authority, has discretion
17 under the statute to exclude products from the
18 competitive bid or competitive acquisition process
19 under two circumstances: one, where to do otherwise
20 would not assure access to those therapies; and,
21 two, where there would be no cost savings from the
22 competitive acquisition process.

1 So this resolution, if you scroll down
2 just a little bit, simply asks--after a bunch of
3 "whereases"--that the Secretary exercise his
4 jurisdiction under the statute to exclude blood
5 clotting factors from that competitive acquisition
6 clause.

7 CHAIRMAN SKINNER: Any questions or
8 comments?

9 [No response.]

10 Are we ready for a vote?

11 DR. HOLMBERG: I can certainly read
12 through it--

13 CHAIRMAN SKINNER: read it all. I'm sorry.

14 DR. HOLMBERG: "Whereas blood clotting
15 factors are life-saving biological therapies;
16 whereas it is crucial that individuals with
17 hemophilia have access to and choice of the full
18 range of blood clotting factors available on the
19 market; whereas inappropriate reimbursement
20 methodologies can have a significant and
21 detrimental impact on Medicare beneficiaries'
22 access to these therapies; whereas the competitive

1 bidding process under Medicare Part B, Sec.
2 1842(o)(I)(C) of the Medicare Prescription Drug
3 Improvement and Modernization Act of 2003 (MMA)
4 would not assure access to blood clotting factors;
5 whereas Congress has recognized the unique access
6 challenges facing beneficiaries that rely on
7 life-sustaining plasma protein therapies through an
8 exclusion of intravenous immunoglobulin therapies
9 from competitive acquisition provisions of the MMA,
10 the committee recommends that the Secretary exclude
11 blood clotting factors from competitive acquisition
12 under the exclusion authority granted in Sec. 1847
13 B(a)(I)(D)."

14 My comment to the committee, again, is
15 that with this clotting factors, we've been
16 consistent in the past about the recombinant
17 analogues, and is it the desire of the committee to
18 include that?

19 MR. HEALEY: We can certainly add that
20 language. I think, in the past what we've done is
21 made sure that we called that "the recombinant
22 analogues" when we refer to plasma therapies, or

1 plasma-derived therapies, because recombinant
2 therapies are not derived from plasma.

3 I think this references blood clotting
4 factors only, and when it does not, it refers to
5 intravenous immunoglobulin. So--but perhaps I'm
6 incorrect about that. "Life sustaining plasma
7 protein therapies"--I suppose that could also say
8 plasma-derived and recombinant analogues.

9 DR. LINDEN: Well, what was in the law,
10 though? Didn't it refer to clotting factors?

11 MR. HEALEY: It said "blood clotting
12 factors," it did not distinguish between
13 plasma-derived and recombinant.

14 DR. LINDEN: right, so I think we should
15 use the same language that--

16 MR. HEALEY: Yes.

17 DR. LINDEN: --the law did.

18 MR. HEALEY: Right.

19 CHAIRMAN SKINNER: Any other questions or
20 comments?

21 Dr. Linden?

22 DR. LINDEN: This is trivial, but in the

1 last "whereas" can we just change the second line:
2 the "that" to "who" so that we make these
3 beneficiaries people?

4 CHAIRMAN SKINNER: I appreciate that.
5 Any other questions?

6 Dr. Sayers?

7 DR. SAYERS: Sorry--this is also trivial.

8 In that second bullet, would anyone object
9 to leaving out "and choice?"

10 VOICE: Yes.

11 DR. SAYERS: Okay. You know, my concern is
12 that almost sounds like an opportunity to exempt
13 physicians from their contribution from deciding.
14 "Given access to the full range"--physicians
15 deciding what's appropriate for the patient. How's
16 that for professional arrogance.

17 MR. WALSH: Yes, except that you can't get a
18 product without a prescription, and you can't get a
19 prescription without a physician. So there has to
20 be some negotiation, at least there.

21 CHAIRMAN SKINNER: Any other comments,
22 suggestions or amendments from the committee?

1 [No response.]

2 CHAIRMAN SKINNER: Is the committee ready
3 to vote?

4 All those in favor, please raise your
5 hands?

6 [Show of hands.]

7 The motion passes unanimously. Ten votes
8 aye. I didn't ask for negative votes, but I saw
9 all the voting members voting.

10 And I believe that there is a fourth
11 resolution. I haven't seen it, but I understand
12 there's one similar that relates to blood.

13 Ms. Lipton, you're going to speak to that?

14 MS. LIPTON: Yes, I am.

15 CHAIRMAN SKINNER: Okay. Thank you.

16 MS. LIPTON: It's a similar issue, although
17 we would like to see it, I think, operate in a
18 slightly different way because there is presently
19 an exclusion permissible for situations where you
20 have--thanks--this will allow me to read without
21 turning my head 180 degrees.

22 It says--it requires--it may require the

1 establishment of quality standards and
2 accreditation bodies. And we actually already have
3 those in place and they are effective. So we're
4 asking that the Secretary use his authority to
5 exclude all blood products and transfusion medicine
6 services from the establishment of quality
7 standards and competitive acquisition processes of
8 the MMA.

9 CHAIRMAN SKINNER: Questions? Comments?
10 Suggestions? Amendments?

11 [No response.]

12 CHAIRMAN SKINNER: Has everyone had an
13 opportunity to read and digest the resolution?

14 Dr. Lipton--Linden, I'm sorry?

15 DR. LINDEN: Can you explain what this
16 really would mean?

17 MS. LIPTON: It just means we would be
18 exempt from the competitive acquisitions sections.
19 We don't necessarily think we are, but we want to
20 verify that we aren't, and this is a good way--do
21 you want to--Theresa, go ahead.

22 CHAIRMAN SKINNER: Identify yourself,

1 please.

2 MS. WIGMAN: I'm sorry--Theresa Wigman,
3 from the AABB.

4 There is some confusing language within
5 the bill passed last year within the competitive
6 acquisition section; a different competitive
7 acquisition section, not the one that the
8 plasma-derivatives are under, but for different
9 medical equipment--durable medical equipment and
10 other things.

11 There's a provision in that section that
12 would require certain products to be subject to
13 quality standards and be accredited by outside
14 parties subject to these quality standards. And in
15 the list of products that they say could be subject
16 to these quality standards it includes blood
17 products and transfusion medicine.

18 It's our understanding from discussions
19 with Congressional staff that this was put in
20 inadvertently and that we should work with the
21 agency to just have them use their exclusion and
22 their own authority to clarify that blood shouldn't

1 have been subject to that provision.

2 So it's within the section of the bill
3 that deals with competitive acquisition, there's
4 this section that deals with quality standards, and
5 that's the one part of the existing Act that we
6 think we need to make clear that blood--it's not
7 appropriate to have blood products or transfusion
8 medicine services subject to these provisions.

9 DR. LINDEN: So they would be excluded,
10 period--

11 MS. WIGMAN: Right.

12 DR. LINDEN: --not just if the particular
13 entity involved were AABB accredited, or anything
14 like that.

15 MS. WIGMAN: Yes. Yes. We're just
16 saying--

17 DR. LINDEN: You're just excluded, period.

18 MS. WIGMAN: Yes, we're just saying that
19 there's no need--it's not appropriate to require
20 blood products to--or transfusion services to
21 undergo a separate quality standards and
22 accreditation system for purposes of the Medicare

1 law, and that this was just an inadvertent
2 mistake--as we have been told by Congressional
3 staff. And they just think it's too minute of an
4 issue for Congress really to deal with at this
5 time; that we should just deal with the Agency on
6 clarifying that this was a mistake.

7 But we do--as the blood banking and
8 transfusion medicine community--think that it's
9 important for the Agency to act in correcting this,
10 just so that there's never a precedent down the
11 road, where someone says, "Well, really, you're in
12 competitive acquisition clause here, and why don't
13 we apply it more broadly."

14 CHAIRMAN SKINNER: Dr. Haas?

15 DR. HAAS: I suggest we do the same with
16 this motion as in the previous one, that we
17 editorially get the right section number written in
18 there so that when it's read, it's read in the
19 context of the explanation.

20 I don't think we need to have that this
21 moment. That can be added.

22 CHAIRMAN SKINNER: Is the committee

1 comfortable allowing the staff to fill in the
2 relevant statutory cites?

3 [No response.]

4 CHAIRMAN SKINNER: Okay.

5 Any other discussion?

6 MR. HEALEY: I fully support this. I just
7 note that the competitive acquisition issue kind of
8 comes in at the very tail end there. I just didn't
9 know whether you wanted--I know that's difficult to
10 explain in sort of a preamble fashion because of
11 the posture of that thing, but I just didn't know
12 whether you needed any more context for it to make
13 any sense to the Secretary, or whomever ends up
14 reading it.

15 MS. WIGMAN: You could say something along
16 the lines of, "Whereas, in the
17 competitive--"--in competitive acquisition
18 provisions of the MMA--"--or--"--a competitive
19 acquisition section of the MMA--"--and then I can
20 give you--at the very top, in the "whereas," and I
21 can give you the appropriate statute's provision,
22 but something along the lines of "Whereas, in the

1 competitive acquisition section of the MMA--"--and
2 then I'd put in parentheses "Section"
3 such-and-such-- "there is language that may
4 require--".

5 Or you could just say--get rid of the "in"
6 in the beginning. "Whereas a competitive
7 acquisition section of the MMA contains language
8 that may require--."

9 DR. HOLMBERG: But you'd want to put "Sec."
10 here?

11 MS. WIGMAN: Yes--I can actually give it to
12 you right now: Sec. 302. And then I think that
13 would work.

14 CHAIRMAN SKINNER: Other discussion?

15 [No response.]

16 CHAIRMAN SKINNER: are we ready for a vote?
17 All those in favor, please raise your
18 hand.

19 [Show of hands.]

20 The motion passes with 10 affirmative
21 votes.

22 CHAIRMAN SKINNER: We have a fifth

1 resolution, relating to the CMS matters.

2 DR. SAYERS: Well, actually there was--the
3 one I'm looking for was the resolution relating to
4 platelet storage and shelf-life.

5 CHAIRMAN SKINNER: And we were. I was
6 going to close out this part of the subject, and
7 then I was going to move into what I thought would
8 be the longer discussion, and spend the balance of
9 the meeting on it.

10 Are there any other suggestions or
11 recommendations coming out of the MMA or CMS
12 presentations yesterday?

13 [No response.]

14 Okay. Then at this point we'll spend the
15 balance of the meeting looking at what was the
16 primary topic. And the committee's very thankful
17 for Dr. Bowman's presentation from CMS. It
18 actually was very helpful and helped the committee
19 in making these recommendations. And we appreciate
20 the time.

21 Okay. Just to get a sense of the
22 committee--I know some committee members have

1 flight schedules, but just so we can think about
2 how we want to move through this--are there
3 committee members that have to leave before four
4 o'clock or 4:30?

5 Before 4:30? Is there anybody that's
6 leaving before 4:30? Anybody leaving before 4:00?
7 At 4:30. Okay.

8 So we have about an hour. And there's
9 been--the suggestion was made to simply work
10 through the questions, or to attempt to start with
11 a resolution. I know Dr. Sayers drafted a
12 resolution which was up on the screen, which
13 perhaps could move us to a conclusion. But I also
14 know that Dr. Holmberg, the secretary, would like,
15 you know, some specific response to some of the
16 questions.

17 The adoption of the resolution actually
18 would require a vote of the committee, and I want
19 to be mindful of maintaining a quorum, ore we
20 could--if for some reason we didn't have a
21 quorum--actually discuss the questions.

22 Dr. Sayers, do you want to discuss your

1 resolution, and then we'll get a sense of the
2 committee, how they want to process?

3 DR. SAYERS: Thanks. This was also in the
4 tradition of a broad brush stroke. I think some of
5 the agencies might feel understandably resentful if
6 the committee came across with specific
7 instructions. So this is how this one reads.

8 "Whereas our committee recognizes the
9 importance of methods to reduce the risk of
10 bacterial contamination in both apheresis and whole
11 blood-derived platelets; and whereas the committee
12 also recognizes the potential for limited
13 availability of platelets, particularly whole
14 blood-derived platelets, the committee encourages
15 dialogue between the DHHS agencies, blood programs
16 and manufacturers to ensure the prompt development
17 of technology, design and completion of clinical
18 trials, and satisfaction of licensing requirements
19 to permit both the pre-storage pooling of whole
20 blood-derived platelets and extension of platelet
21 dating."

22 [Pause.]

1 Well, there's a conversation killer.

2 [Laughter.]

3 CHAIRMAN SKINNER: So--well, I'm just
4 looking at--I was looking at the structure of the
5 resolution. You have two "whereas" clauses, and
6 then the actual recommendation starts midway down
7 where--"the committee encourages."

8 DR. SAYERS: Criticisms about layout should
9 be directed at Dr. Holmberg.

10 [Laughter.]

11 CHAIRMAN SKINNER: I mean, so there's two
12 findings, and then there's an actual
13 recommendation. Okay.

14 MR. HEALEY: Mark, I had a comment about
15 that.

16 I just--I think it's, you know, very well
17 worded and very well put together. I guess two
18 things: one is, kind of be careful what you ask
19 for, because if you ask for dialogue that may be
20 all you get. And you maybe want something a little
21 more concrete, in terms of action.

22 DR. HOLMBERG: Well, let me just go back

1 and reiterate what I said earlier, and that is that
2 the intent of the agencies--and also Dr. Biato is,
3 or was--for us to have this public forum, and then
4 to move from this public forum into a roundtable
5 discussion which will probably take the--we would
6 like to work, definitely with the AABB's task force
7 and bring the agencies together with the task force
8 so that we can have this roundtable discussion--and
9 make sure that we have a strategy. And that's one
10 thing that Dr. Biato was very serious about, is
11 that we do need to come down to the details of a
12 strategy on how do we move this ahead.

13 CHAIRMAN SKINNER: Karen?

14 MS. LIPTON: I was actually going to
15 suggest use of that word--"strategy"--in the second
16 part: "--to ensure the development of a strategy
17 that facilitates the prompt development--". I
18 don't know what we can ensure, because we have to
19 get the manufacturers to the table, too, and
20 somebody has to want to invest in this.

21 But I really like the use of--Gerry's use
22 of the term "strategies"--and "strategies," because

1 I think it's not just one strategy.

2 DR. HOLMBERG: So help me out there.

3 MS. LIPTON: I would say "--to ensure the
4 development of strategies for the prompt--"--what
5 is it?--"to facilitate the development of--"--I
6 can't read this. I'm sorry. I can't read and talk
7 here at the same time.

8 [Pause.]

9 It was "to facilitate the development of
10 strategies to--"--where are we now?

11 "--development of strategies to facilitate
12 the prompt--"--and I guess we need another word
13 other than "development."

14 DR. HAAS: Well, can we take the first
15 "development" out? I mean--it's the second
16 development you want.

17 MS. LIPTON: Yes.

18 [Pause.]

19 Yes, it's got something grammatically
20 funning going on there. But I guess--"To ensure
21 strategies that facilitate--"--I don't know. Jean,
22 you're out--

1 DR. KUEHNERT: Mark?

2 CHAIRMAN SKINNER: Dr. Kuehnert?

3 DR. KUEHNERT: Could I just get a little
4 clarification? You mentioned the task force. Who
5 is the task force composed of?

6 MS. LIPTON: In our--I think we mentioned
7 earlier that we are putting together an AABB task
8 force that includes a number of experts in the
9 fields, and representatives of our committee. You
10 actually have also been requested to be on that
11 task force, although--

12 DR. KUEHNERT: So it includes government
13 and non-government.

14 MS. LIPTON: Well, yes--and in speaking
15 with--I think what Dr. Holmberg was suggesting that
16 if we were to lead the initiative it would actually
17 make it easier to have this dialogue, because you
18 don't have to be concerned--or as concerned--about
19 advisory committee rules. We can actually--we can
20 have the task force and ask the government to join
21 us at the table.

22 DR. KUEHNERT: Okay--yeah. I just wondered

1 about that, if we were saying, you know, form a
2 group that would be separate from the task force.
3 It sounds like what we're saying is that it could
4 be the task force. But--

5 MS. LIPTON: I don't think we want to
6 mention the task force in there. I just think we
7 just want to say we want to have a dialogue.

8 DR. KUEHNERT: Right. Right. Right. But
9 that's what we're thinking, is that it would be.
10 Is it, in reality, that's what we're thinking, is
11 that it would--it could--what we're asking for here
12 could essentially be what you're talking about.

13 MS. LIPTON: It could, if you would answer
14 the letter and come to the meeting [laughs].

15 [Laughter.]

16 MS. LIPTON: If you'll accept.

17 DR. KUEHNERT: The other concern I had
18 echoed the earlier sentiment about "encouraging
19 dialogue." And that's sort of--you know,
20 there's--well, even just about the dialogue,
21 there's nothing in here about public health
22 concerns that I saw. There is "development of

1 technology," there is "design and completion of
2 clinical trials," and there's "satisfaction of
3 licensing requirements."

4 So we've got the, you know, "develop new
5 methods," and "clinical trials," and regulatory
6 stuff, but we don't have the issues on, you know,
7 public health in there.

8 MR. HEALEY: [Off mike.] It's in the first
9 "whereas" isn't it?

10 DR. KUEHNERT: Ahh--the first "whereas?"

11 MR. HEALEY: I read the first "whereas" as
12 to kind of cover the public health issue, there.
13 It's--you know, it's implicit that the importance
14 of it is a public health--maybe if you add those
15 words up there you cover it.

16 DR. HOLMBERG: But I think--not to put
17 words in Dr. Kuehnert's mouth--but I think where
18 he's going is with the donor and recipient
19 notification, and some of those issues that were
20 addressed earlier.

21 DR. KUEHNERT: I mean, I think people are
22 going to, hopefully--you know, maybe I'll be an

1 optimist and say everyone's going to do the right
2 thing because we've asked, you know, numerous
3 speakers, you know, "What about organism
4 speciation?" And the answers were either, "Yes,
5 not right now," or "We're thinking about it." And
6 so I just didn't know if the committee wanted to
7 have a little bit stronger push for including some
8 things that they thought were important for public
9 health or not.

10 Certainly, you know, it would be couched
11 in that--again, that it's under the "encourages
12 dialogue" about it, rather than, you know,
13 prescribing something. But I just wondered if
14 that--

15 CHAIRMAN SKINNER: Perhaps, Dr. Kuehnert,
16 if you want to try to craft a few words, and I can
17 come back to you in a moment--

18 DR. KUEHNERT: Okay.

19 CHAIRMAN SKINNER: --and then we can add
20 it to the action part of the recommendation?

21 DR. KUEHNERT: Okay.

22 CHAIRMAN SKINNER: Or we can stay on this

1 topic and discuss it, or--

2 MS. LIPTON: Well, I actually wanted to
3 respond, because I would not like to see an issue
4 like that at this point. I don't think we have any
5 idea--and I think we are still in a fact-finding
6 mode, even in terms of the operation of these tests
7 and what we're going to find. And I don't want to
8 put the cart before the horse, and have everyone
9 establishing a donor notification and a whole bunch
10 of policies around this before we even have tests
11 that we even understand what we're looking at.

12 So I--this is something that I think
13 naturally falls out of that, but I think our most
14 important thing is coming up with a reliable
15 method, specifically to test whole blood-derived
16 platelets.

17 DR. KUEHNERT: I think what I was getting
18 at was not exactly seeing even the words "organism
19 identification." I mean, more saying that there
20 should be minimal criteria to allow for adequate
21 quality control and public health interests,
22 basically. I mean, I wasn't even necessarily

1 suggesting that we even go as far as describing
2 specific things.

3 But maybe it doesn't need to be there. I
4 don't know.

5 CHAIRMAN SKINNER: Dr. Linden?

6 DR. LINDEN: Yes, I mean, I'm not clear on
7 what we're trying to do with this entire
8 resolution. And I think we have a difference of
9 opinion. That's why Dr. Kuehnert is coming up with
10 certain issues that are really different from
11 what's in there.

12 I mean, I think whoever wrote this is
13 addressing certain issues that are perceived to
14 perhaps have barriers right now that can be
15 addressed by the agency, versus--I think Dr.
16 Kuehnert is coming up with issues that he's hearing
17 are things that are not perhaps adequately being
18 addressed by the blood agency that, you know, maybe
19 more could be done there from a public health
20 perspective as opposed to necessarily done by HHS.

21 And--you know, I think we need to figure
22 out what we're doing here. And my perspective,

1 reading this, is it's not clear to me whether the
2 reference to development of "technology" is, in
3 general, technologies to facilitate testing of
4 whole blood platelets, technology to facilitate
5 bacterial detection of platelets in general,
6 or--the first time I read this, I thought it was
7 specifically referring to the last two items: the
8 pre-storage pooling of whole blood-derived
9 platelets and the extension of platelet dating. I
10 thought it was focusing only on those two items.

11 So I think it needs to be clarified,
12 regardless. And, unfortunately, I'm one of the
13 early leavers, so I'm not going to be able to
14 participate in a lot of word smithing.

15 But I think, one, our purpose needs to be
16 clarified and then, secondly, the wording really
17 needs to be clarified, because I think it's open to
18 interpretation as to what is really meant by this.
19 Are we intending to mean, broadly, that the agency
20 should facilitate various technologies, or are we
21 focusing only on these two as specific things that
22 have been identified, you know, clearly during this

1 meeting as issues.

2 CHAIRMAN SKINNER: And, obviously, it's the
3 committee's pleasure what kind of recommendation
4 they want to make. I think that was why Dr.
5 Holmberg had perhaps suggested that we work through
6 the questions and answer them.

7 I think--we don't have to pass any
8 resolution unless there are specific things that
9 we're looking for action on at this point. If what
10 the Secretary is looking for at this point is some
11 guidance, and our conclusions on these questions is
12 they move forward into the task force, then we
13 could operate by consensus without actually
14 crafting a resolution as to what our sense is on
15 these answers. Because there's clearly items that
16 were on this list of questions that aren't covered
17 in this resolution. And whether or not it's our
18 intent to jump to the end, reach the conclusions
19 and package everything, and say these are the
20 things that we're ready to recommend on, or whether
21 we need to go through them piece by piece.

22 And there were certainly presentations

1 that were made on subjects that questions weren't
2 asked on; you know, including the public health
3 aspects.

4 I'm at somewhat of a loss on which
5 direction the committee wants to go: if we want to
6 continue on this vein, I'm happy to do it. We
7 probably won't get through all the questions, but I
8 don't know which is most important.

9 DR. SAYERS: Let me just respond to Dr.
10 Linden before she goes.

11 I came away with, I think, four messages.
12 We can't discount the value of whole blood-derived
13 platelets. We could be facing shortages of
14 platelets in general. We need to be looking at
15 pre-storage pooling. And we also need to be
16 looking at prolonging the shelf life. And all this
17 recommendation was meant to do was address
18 specifically that.

19 You know, I think, as a blood bank, I look
20 to AABB for the sort of other issues that have to
21 do with are you going to identify the organism?
22 What are you going to be telling the blood donor?

1 What do you do with the other products?

2 This was just meant to be a broad brush
3 stroke.

4 CHAIRMAN SKINNER: Karen?

5 MS. LIPTON: I'd really like to echo what
6 Merlyn said. I mean, I was--I understand that we
7 may want to answer these questions, but I would
8 submit to you that we don't have enough data to
9 answer these questions.

10 I think what we consistently heard was
11 that there are availability issues, and that those
12 availability issues can be somewhat addressed by
13 those two strategies, and that we currently do--and
14 I hate to say this--but we do have barriers to this
15 at the FDA level. We do need some creative
16 thinking about how we're going to design studies
17 that allow us to sort of have a rational different
18 approach to getting some of these strategies
19 licensed.

20 I think much of the other data will fall
21 out, and much of the other things that we need to
22 do.

1 This is a critical need now. I think it's
2 perfectly appropriate to revisit some of these
3 issues the next time the committee meets. We'll
4 have a much better handle on what's going on out
5 there. But it's premature for us to jump in any
6 other direction, other than the four--I mean, I
7 absolutely agree. I heard the same thing that
8 Merlyn heard over and over and over again. And
9 that's what the critical need right now is to
10 address those two pieces.

11 CHAIRMAN SKINNER: Other comments?

12 Dr. Lopez.

13 DR. LOPES: Does this commit us to the
14 particular clinical trial strategy we've been
15 hearing about that would require the 50,000 to a
16 million data points?

17 [Comment off mike.]

18 DR. HOLMBERG: Let me just comment on that.

19 I think that, you know, we from the
20 government have heard a lot of information. I
21 think that we also need to be able to go back--all
22 of the agencies of HHS--to go back and to talk

1 about this, and then to reconvene with the task
2 group to be able to work out the details.

3 So, you know, I don't think anybody can
4 give you that answer today, whether things are
5 going to be changed. But, definitely, we have
6 heard comments, and we will take those comments.

7 MR. WALSH: I think this recognizes the
8 importance. I think it identifies a vehicle to
9 take the next step. And it's specific enough for a
10 resolution right now. And if we want to do another
11 resolution more specific to data points, then make
12 that another resolution. But I think this
13 resolution spells it out: we need the government to
14 work with industry, to work with the blood banking
15 community, to get it done. And we need to state
16 that.

17 CHAIRMAN SKINNER: Dr. Linden?

18 DR. LINDEN: Yes, it's helpful. Because
19 when I read this, I thought the focus was only on
20 those two issues. So that's the way I first read
21 it. But it has not been clear to everybody.

22 It might be helpful to add either another

1 "whereas," or to one of the existing "whereases,"
2 that the current shelf-life and lack of
3 availability to pool pre-storage is--you know, are
4 current issues that have been identified by the
5 committee; you know, to make it clear that we're
6 identifying and referring to those two issues.
7 That might help make things clearer.

8 You also might consider, in referring to
9 the clinical trials, putting in the word
10 "feasible"--you know, "completion of feasible
11 clinical trials." Because I think the issue came
12 up that what's been proposed is not feasible. A
13 possible suggestion there.

14 Otherwise, I agree. I think this is very
15 appropriate to focus on these two issues. And I
16 think it does say what we want to say.

17 DR. HOLMBERG: Does somebody want to draft
18 that third "whereas?"

19 DR. LINDEN: "--and inability to pool
20 platelets prior to storage has been identified as--

21 COL. SYLVESTER: "--as barriers to--"--you
22 know--ahh-- "--the wholesale use of bacterial

1 detection methodologies--"--or there are
2 barriers--or cost effective--

3 MS. LIPTON: "--the effective
4 implementation of--"

5 COL. SYLVESTER: Yes--"--full scale
6 implementation, particularly for whole
7 blood-derived platelets"--because that seems to be
8 the biggest challenge.

9 CHAIRMAN SKINNER: Dr. Angelbeck?

10 DR. ANGELBECK: Just a comment. I would
11 concur with Karen that if you look at the
12 questions, this was just implemented a short while
13 ago--perhaps less than 30 days ago. And I do not
14 think that there is sufficient data to really
15 answer these questions. I think that that needs to
16 come back to the committee after a longer period of
17 implementation, where we can see the response to
18 this.

19 I would concur with this resolution. And
20 the only other thing I would emphasize is, as a
21 manufacturer, the sooner the manufacturers can
22 participate in the process the better. And we

1 would certainly welcome that opportunity.

2 CHAIRMAN SKINNER: Karen?

3 MS. LIPTON: Can I just offer one small,
4 also word, amendment? In the third "whereas," we
5 talk about "have been identified as barriers to
6 implementation." We actually have had
7 implementation. I think we want to say "barriers
8 to the optimal implementation." Because people
9 have implemented by using dipsticks, glucose--the
10 optimal implementation is a culture method.

11 CHAIRMAN SKINNER: Other comments?
12 Suggestions?

13 MS. LIPTON: That's "optimal" not
14 "optimum."

15 DR. HOLMBERG: Oh--sorry.

16 MS. LIPTON: That's okay. No, I mean,
17 that's why I said it. It's fine.

18 DR. HOLMBERG: It's like being at the
19 blackboard and everything goes blank.

20 DR. SAYERS: Can I just go to the screen
21 and point to Gerry where two "the"s are needed?

22 DR. LINDEN: And it should be "whole

1 blood-derived platelets."

2 DR. HOLMBERG: What is this now?

3 DR. LINDEN: In the third "whereas."

4 Right--because we're talking about whole

5 blood-derived platelets here.

6 [Pause.]

7 DR. HOLMBERG: And--Jeanne? Where?

8 DR. LINDEN: In the third "whereas," the

9 last couple words: "whole blood-derived platelets."

10 [Pause.]

11 DR. LINDEN: Just say "platelets." Forget

12 "products." We actually don't like to use the word

13 "products." They're actually components.

14 CHAIRMAN SKINNER: Other questions?

15 Comments?

16 DR. LINDEN: Can I vote yes before I go?

17 [Laughter.]

18 DR. HOLMBERG: Thank you, Dr. Linden.

19 DR. MIDTHUN: Gerry, maybe I'm just

20 confused, but that third "whereas"--"whereas the

21 current five-day shelf-life and inability to pooled

22 platelets pre-storage"--I think something is

1 missing there, or--

2 VOICE: [Off mike.] How about "restrictions
3 on pre-storage pooling," rather than "inability?"

4 DR. MIDTHUN: Yes, I think that would be
5 better. Yes.

6 CHAIRMAN SKINNER: Dr. Kuehnert?

7 DR. KUEHNERT: I know we're word-smithing
8 here, but I just want to bring up two other broad
9 concepts. One is the importance to monitor;
10 whether anybody wanted to add any language to that
11 effect, that there's a need to monitor availability
12 while this implementation is going on.

13 And the other is about cost issues. But,
14 you know, I'll leave that to voting members of the
15 committee to decide whether those are necessarily
16 elements.

17 CHAIRMAN SKINNER: One option to address
18 that would be simply to have this put back on the
19 agenda for the next meeting. And if we learned
20 some new data at that point, we could obviously
21 amend the recommendation.

22 MS. LIPTON: And we will commit--we will

1 maintain our study. You know, we have a survey
2 document out there, and we will periodically run
3 that. We can bring back new data.

4 CHAIRMAN SKINNER: And other questions or
5 comments--from committee members first?

6 MR. HEALEY: I think Karen point out
7 earlier, there's something a little hinky about the
8 language there in the last paragraph: "To ensure
9 strategies to facilitate the prompt--"--something
10 doesn't quite work there. I don't be a stickler
11 about it, but there might be a better way to phrase
12 it.

13 MS. LIPTON: I would suggest "Ensure
14 strategies that facilitate," or "which facilitate,"
15 as opposed to "to."

16 VOICE: [Off mike.] Shouldn't it be "build
17 strategies" or something like that? Rather than
18 "ensure."

19 MR. HEALEY: The point is that you want
20 dialogue that's going to result in
21 strategies--right? I mean, that's the--and there's
22 sort of like no verb there. There's a verb

1 missing, I think.

2 DR. SAYERS: You know, we could get around
3 this by having "to ensure" then bullet "strategies
4 that facilitate," then bullet "design and
5 completion of feasible clinical trials," and then
6 bullet "satisfaction of licensing."

7 MR. HEALEY: Okay--wait. Go back again?
8 To--bullets where?

9 CHAIRMAN SKINNER: Colon after "ensure,"
10 and then a bullet, an then create three bullets.

11 DR. SAYERS: Yes.

12 MR. HEALEY: I don't think that's right. I
13 think it's "to ensure strategies that" --"that,"
14 colon, bullet, "facilitate the prompt
15 development--"---

16 CHAIRMAN SKINNER: Could you go to the
17 screen and point again, please?

18 [Pause.]

19 CHAIRMAN SKINNER: We're going to lose a
20 quorum very quickly. So I want to make sure that
21 everybody's had their change.

22 I know there were two quick comments.

1 Mike, did you have something that you needed
2 to--that you wanted to add for a clarification?

3 DR. FITZPATRICK: Mike FitzPatrick, and
4 thanks for recognizing me, Mark.

5 I just would suggest that the committee
6 put something at the end of this--a last bullet or
7 something--to say something about research and
8 development of other methods, and not imply that
9 this is the be-all and end-all if this is
10 completed.

11 CHAIRMAN SKINNER: What's the pleasure of
12 the committee? Is there agreement to add a
13 catchall phrase encouraging other research and
14 development?

15 [No response.]

16 CHAIRMAN SKINNER: Perhaps someone could
17 craft some language quickly.

18 And I believe there was someone else that
19 had a comment--yes. Steve?

20 DR. WAGNER: Thanks for recognizing me.
21 Steve Wagner, Red Cross.

22 I'd like to somehow improve the third

1 whereas to be able to include apheresis platelets
2 with the extension of the storage time from five to
3 seven days.

4 CHAIRMAN SKINNER: Can you put it at the
5 end there?

6 [Pause.]

7 MS. LIPTON: We would--I think that's
8 right. We were just talking about that. We do
9 need to--it does need to platelets--pheresis, too,
10 or single-donor.

11 And I think we have a potential fix to
12 that little thing that's going on in the end.

13 CHAIRMAN SKINNER: Okay. So staying the
14 pheresis--stay on the pheresis for a moment, which
15 is where he's crafting.

16 MS. LIPTON: Under the third--"detection in
17 whole blood-derived--"--oh, I see. So, what did
18 you say?--"--and extend the shelf life of--"--I
19 don't know what--this is factual, Mark. Help us
20 out, here.

21 [Pause.]

22 MS. WIGMAN: I still think, actually, it's

1 a barrier to the optimal implementation,
2 because--and it affects the availability of--

3 VOICE: [Off mike.]--instead of--actually
4 word-smithing--instead of putting it down here, why
5 don't you just put parentheses "single-donor
6 apheresis and whole blood-derived
7 platelets"--[inaudible]--shelf life.

8 Clinical trials--sometimes [inaudible]

9 MS. LIPTON: And one other thing, to
10 address Mike's issue, perhaps we could say
11 "facilitating the prompt development of
12 technologies"--you know--understanding that we may
13 have totally different approaches to this issue
14 that we would be interested in exploring.

15 CHAIRMAN SKINNER: And so the only other
16 item that's not yet captured was the suggestion to
17 change the reference to clinical trials to
18 "studies?" Or "clinical trials and studies?"
19 "And/or"?

20 MS. LIPTON: "Completion of studies and
21 feasible clinical trials" maybe.

22 VOICE: [Off mike] [inaudible].

1 CHAIRMAN SKINNER: What's the
2 committee's--"-studies and--"---?

3 VOICE: [Off mike] [inaudible].

4 CHAIRMAN SKINNER: Any other substantive
5 comments or issues at this point?

6 DR. GOMPERT: Are we sure the third
7 "whereas" is correct?

8 MS. LIPTON: Yes, and then in the
9 "whereas," instead of there, we could just say "in
10 platelets"--or just "in platelets," I guess--right?
11 We don't have to say--

12 COL. SYLVESTER: It would be "restrictions
13 on pre-storage pooling of whole blood-derived
14 platelets" and then at the end you could just say
15 "platelets."

16 MS. LIPTON: Okay.

17 COL. SYLVESTER: Because it's the five-day
18 shelf-life on both, and the fact that we can't do
19 pre-storage pooling on whole blood-derived.

20 VOICE: [Off mike] [inaudible].

21 CHAIRMAN SKINNER: In Item 3, after "the
22 detection." Period.

1 Any other edits? Corrections? New
2 issues?

3 Dr. Haas?

4 DR. HAAS: Again, a really minor thing: the
5 first bullet, to have parallel structure it should
6 read, "The facilitation of--"--instead of a gerund
7 there.

8 DR. HOLMBERG: Where is this?

9 DR. HAAS: First bullet: "The facilitation
10 of--"--you just can take out "the."

11 DR. HOLMBERG: But is that third "whereas"
12 correct? Yes?

13 CHAIRMAN SKINNER: Other comments?

14 COL. SYLVESTER: You can either "apheresis
15 and whole blood" in parentheses, or you could just
16 say "five-day shelf life of apheresis and whole
17 blood-derived" and take the parentheses off.

18 CHAIRMAN SKINNER: And delete the--at the
19 end.

20 Chris, did you have a comment?

21 MR. HEALEY: It should be dialogue "among"
22 not "between."

1 VOICE: [Off mike] [inaudible].

2 CHAIRMAN SKINNER: Other comments?

3 Questions? Has the committee had a chance to read
4 it?

5 [Laughter.]

6 Are we ready for a vote? All those in
7 favor, raise your hand.

8 [Show of hands.]

9 The resolution passes. Eight affirmative
10 votes--unanimously.

11 MR. HEALEY: Mark, I think you need to ask
12 for abstentions.

13 CHAIRMAN SKINNER: Oh, I'm sorry.
14 Abstentions?

15 DR. BRECHER: [Raises hand.]

16 Dr. Brecher will be recorded as
17 abstaining.

18 Do we need to vote again?

19 MS. LIPTON: Yes, maybe you should vote
20 again. I'll abstain.

21 CHAIRMAN SKINNER: We're going to vote one
22 more time?

1 VOICE: Do we have a quorum?

2 VOICE: Quick before I leave.

3 CHAIRMAN SKINNER: Yes.

4 [Laughter.]

5 All those in favor, raise your hand.

6 [Show of hands.]

7 CHAIRMAN SKINNER: Seven affirmative votes.

8 All those opposed?

9 Abstentions?

10 [Show of hands.]

11 CHAIRMAN SKINNER: Karen Lipton and Mark

12 Brecher recorded as abstaining. So the motion

13 passes unanimously.

14 Any other--we don't have a quorum, we
15 can't transact any additional business, but we can
16 certainly have comments.

17 Dr. Lopes?

18 DR. LOPES: I just wanted to suggest that
19 we plan on another--on touching this on another
20 meeting, both as concerns the information that will
21 be coming into existence over the next few months,
22 and also the public health aspects of the problem.

1 DR. HOLMBERG: Thank you. I'll take those
2 for consideration for the next meeting, which will
3 be in August--I believe it's the--it's the end of
4 August. It's on the website.

5 Also, the next meeting will be located at
6 the other Hyatt. We lost this one for the August
7 meeting but, hopefully, we'll be back to this one
8 for the next year.

9 Also, at the August meeting we will give
10 you the dates of all the meetings fro the next
11 fiscal year, so everybody can get those on their
12 calendars.

13 CHAIRMAN SKINNER: Colonel Sylvester?

14 COL. SYLVESTER: If the meeting's going to
15 be at the end of August then I need to inform the
16 committee that I will be retiring and I will be
17 replaced by Commander Michael Libby, who will be
18 taking over as director of the Armed Services Blood
19 Program on August 15
20 th.

21 CHAIRMAN SKINNER: Well, thank you very
22 much.

23 Any other discussion?

1 DR. HOLMBERG: Can I make one comment?

2 CHAIRMAN SKINNER: Dr. Holmberg.

3 DR. HOLMBERG: Okay. I want to thank you
4 all for going through the last two days of this
5 issue. And Dr. Biato appreciates all your
6 comments. Clearly, there has been a data cap, and
7 we really appreciate the information.

8 I also want to thank my staff for all the
9 hard work they've done in getting this meeting
10 going. And if you feel likewise, please let them
11 know that you appreciate their hard work.

12 Also, you'll notice that there is a
13 Lieutenant Commander Henry that's been floating
14 around here--up here at the podium. And he is
15 newly promoted to Lieutenant Commander from
16 Lieutenant. So you--yes the stripes are eight days
17 old.

18 [Applause.]

19 CHAIRMAN SKINNER: Thank you. The
20 committee is adjourned.

21 [Whereupon, at 4:15 p.m. the meeting was
22 adjourned.]